

NATIONAL INSTITUTES OF HEALTH
STAKEHOLDER ENGAGEMENT WORKSHOP ON THE
USG POLICY FOR INSTITUTIONAL OVERSIGHT OF
LIFE SCIENCES DUAL USE RESEARCH OF CONCERN

July 22, 2015

8:59 AM - 4:00 PM

National Institutes of Health
Building 10 - Lipsett Auditorium

9000 Rockville Pike

Bethesda, Maryland

Reported by: Michael Farkas
Capital Reporting Company

1	C O N T E N T S	
2	AGENDA ITEM/SPEAKER:	PAGE
3	Welcome and Introduction	6
4	Carrie D. Wolinetz, Ph.D.	6
5	Associate Director for Science Policy	
6	National Institute of Health	
7	Overview of the USG Policy for	
8	Institutional Oversight of Life Science	
9	Dual Use Research of Concern	12
10	Susan Collier-Monarez, Ph.D.	12
11	White House Office of Science and	
12	Technology Policy	
13	Case Study	43
14	Moderator: Lt. Marcienne Wright, Ph.D.	43
15	Office of Planning and Policy,	
16	ASPR, HHS	
17	Break	112
18	Institutional Approaches: Processes for	
19	Identifying and Reviewing Research	
20	Subject to the Policy	112
21	Moderator: Samuel S. Edwin, Ph.D.	112
22	Chief, Biosecurity Division, USAMRIID	
23	Panelists:	
24	Trevor Ames, D.V.M.	113
25	Dean of the College of Veterinary	
26	Medicine, University of Minnesota	
27	Robert Ellis, Ph.D., CBSP, SM	
28	(ASM), ACVM	119
29	University Director of Biosafety	
30	Colorado State University	

C O N T E N T S	
1	(continued)
2	AGENDA ITEM/SPEAKER: PAGE
3	Philip Potter, Ph.D. 125
4	DURC Sub-Committee Chairman St. Jude Children's Research Hospital
5	Lunch 153
6	Institutional Approaches: Developing
7	Risk Management Plans 153
8	Moderators:
9	Dennis Dixon, Ph.D. 153
10	Chief, Bacteriology and Mycology Branch, NIAID, NIH
11	Joe Kozlovac, M.S., RBP, CBSP 154
12	Biologic Safety Officer, ARS-USDA
13	Panelists:
14	Joseph Kanabrocki, Ph.D., CBSP 156
15	Associate Vice President for Research Safety, University of Chicago
16	Rebecca Moritz, M.S. 167
17	Select Agent Program Manager University of Wisconsin
18	Philip Hauck, M.S., M.S.H.S., 176
19	CIH, CBSP, SM (NRCM)
20	Institutional Biosafety Officer and Institutional Official Icahn School of Medicine at Mt. Sinai
21	Overview of USG Outreach Activities and Available Educational and Training Materials Related to the
22	USG Policy for Institutional Oversight in Life Sciences Dual Use Research of Concern 195

1	C O N T E N T S	
2	(continued)	
3	AGENDA ITEM/SPEAKER:	PAGE
4	Ryan Bayha	195
5	Senior Analyst for Biosecurity and Biosafety Policy, NIH	
6	Break	224
7	Institutional Approaches: Raising Awareness and Educating about DURC	224
8	Moderator: Cheryl Doerr	224
9	Compliance Assurance Program Manager Department of Homeland Security	
10	Panelists:	
11	Stephen Higgs, Ph.D.	225
12	Associate Vice President for Research Director, Biosecurity Research Institute	
13	Kansas State University	
14	Richard Frothingham, M.D., CBSP	236
15	Director, NIAID Regional Biocontainment Laboratory at Duke Medicine	
16	Patricia Olinger, RBP	249
17	Assistant Vice President Office of Research Administration	
18	Executive Director, Environmental Health and Safety Office Emory University	
19	Open Forum for Stakeholder Input/Future Directions	260
20	Moderators: Representatives from	
21	various USG Departments and Agencies	
22	Closing remarks	

1 C O N T E N T S

(continued)

2

AGENDA ITEM/SPEAKER:

PAGE

3

Susan Collier-Monarez, Ph.D.

304

4

White House Office of Science
and Technology Policy

5

Adjourn

306

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1 P R O C E E D I N G S

2 MS. WOLINETZ: Silence falls over the
3 crowd. Welcome, everybody. I am Carrie Wolinetz.
4 I'm the Associate Director for Science Policy here
5 at NIH and I want to welcome everyone who is in
6 the room, our research stakeholders, our federal
7 partners, and all of you who are watching on the
8 webcast today.

9 Welcome to our Stakeholder Engagement
10 Workshop on The US Policy on Dual Use Research Of
11 Concern. So, just to kick things off, we're going
12 to dive right into it. I'm suspecting if you're
13 here in the room at this meeting or if you're
14 watching on the webcast, odds are good you're
15 somewhat familiar with the dual use dilemma, the
16 idea that good and beneficial science, technology,
17 and research could potentially be misused for
18 nefarious or non-benevolent purposes and it
19 presents a really interesting sort of intellectual
20 and practical dilemma. How do you facilitate what
21 at the end of the day are really beneficial
22 valuable lifesaving benefits of biological

1 research while still mitigating the potential
2 risks of misuse.

3 And the flipside of that, of course, is
4 how do you mitigate the potential risks of misuse
5 without inhibiting the beneficial and valuable
6 lifesaving benefits of biological research. And
7 that is really what's bringing us here today. And
8 I think it always important to recognize that
9 although I think dual use research of concern and
10 the whole sort of dual use arena is sometimes
11 presented as sort of attention between those
12 favoring science versus favoring security. The
13 truth is I think there are a lot of common
14 grounds. I think there is a broad general
15 agreement that life science research is important,
16 it has great benefits for human health, for animal
17 health, agriculture, the economy, manufacturing,
18 sort of a broad range of sectors but I think there
19 are also broad agreements that research and
20 research results in the life sciences need to be
21 conducted and communicated responsibly. This is
22 something we certainly feel strongly about at NIH

1 and this is true not only of dual use research but
2 on any number of issues related to the conduct of
3 life science research when it comes to human
4 subjects protection, the protection of animal
5 subjects, the conduct of research in a responsible
6 manner that involved in plagiarism or fabrication
7 or falsification. It's important that we really
8 support good and sound and responsible science,
9 and it's really these two principles that are the
10 foundation of a dual use research policy and any
11 number of life sciences policies. And it's really
12 a matter of finding the balance between the
13 benefits and potential risks of the research.

14 So, what brings us together today? We
15 are here to hear from all of you about the current
16 Dual Use Research of Concern proposed policy for
17 institutions, the IDURC policy. It seems like a
18 timely place to hear from our stakeholders as the
19 policy will be going into effect in September. So
20 we're really interested in hearing your thoughts
21 on dual use research of concern generally and to
22 the IDURC policy specifically.

1 I just wanted to spend a moment NIH's
2 role in DURC policy. NIH, the Office of Science
3 Policy is involved in the management of the
4 National Science Advisory Board of Biosecurity.
5 We have a number of NSABB members here today so
6 welcome to you all of you. And this is the group
7 that has the role of advising the U.S. Government
8 on issues related to dual use research and other
9 biosecurity issues. The NSABB produces a number
10 of reports and recommendations and those
11 recommendations feed into the broader policy
12 process of the U.S. Governments and that's an
13 interagency process. A lot of federal agencies
14 have a stake in this arena of research and are
15 actively involved in the discussions and policy
16 development going forward. And a number of those
17 agencies are represented here today so welcome to
18 all of you so welcome to all of you.

19 And, of course, I want to emphasize that
20 the dual use research of concern policy, although
21 it tends to be associated with NIH because we've
22 got a large portfolio of research in this arena,

1 it's actually a U.S. Government policy and the
2 next speaker will be talking a lot about the
3 policy itself and its development and some current
4 status.

5 So just to give you an overview of what
6 we are going to talk about today, we're going to
7 hear, as I just mentioned, from the White House
8 Office of Science and Technology Policy about the
9 current DURC policy landscape. We're going to
10 talk with a number of experts about their
11 experience, their practical experience in
12 implementing the IDURC policy. We're going to go
13 through an interactive case study -- we're going
14 to make sure that you're all awake and are paying
15 attention -- related to the IDURC policy, and we're
16 going to talk a little bit more about our outreach
17 and education efforts relative to this policy.
18 There's also going to be ample opportunities to
19 talk amongst yourself because we're all here to
20 learn from each other and we want to make sure
21 that during the breaks and lunch, you get to talk
22 to each other and network and learn from each

1 other.

2 So I also want to emphasize that we
3 really do want to hear from you, from the research
4 community. You are really where the rubber meets
5 the road when it comes to the actual
6 implementation of the policy. From my point of
7 view sort of writ large, the more feedback we get
8 from stakeholders, the better the policy that we
9 ultimately develop and this is true on all sorts
10 of fronts. And when it comes to implementation,
11 you're really the experts. We need to hear what
12 are your experiences, what are your concerns, what
13 are the positive things that you're hearing from -
14 - and this workshop today is not your only bite at
15 the apple in that regard. We are happy to hear
16 from you at any time. You can send email to DURC
17 at OSTP.gov at any time over the course of --
18 well, frankly, any time.

19 And I did want to remind everyone -- I
20 mentioned this in the beginning -- that this
21 meeting today is being webcast -- so hello to all
22 of you out there listening -- and that it will be

1 archived so, you know, if today was not enough,
2 you can go back and watch it over and over again
3 at your leisure.

4 And I just want to conclude by saying
5 thank you for coming and it's really time for all
6 of us to listen to what our experts and all of you
7 have to say.

8 So without further ado, I would like to
9 introduce Susan Monarez from the Office of Science
10 and Technology Policy at the White House who is
11 going to be walking us through some of the details
12 of the DURC policy and how we got here. So Susan,
13 please.

14 MS. COLLER-MONAREZ: Thanks, Carrie.
15 Can you all hear me? I want to thank Carrie for
16 really laying out the landscape of what we have to
17 do today. And before we go further, though, there
18 are two people that we definitely need to thank
19 for even making this happen. First, I want to
20 thank Ryan Bayha from NIH and Janelle Hurwitz from
21 HHS who have been instrumental in formulating what
22 we'll be talking about, making sure that we have

1 the appropriate panelists and running all the
2 logistics that actually allow us to be here today.
3 So Janelle and Ryan, thank you both very much for
4 everything you've done to get us in the room.

5 I also want to thank the government
6 panelists -- or the government representatives who
7 are here today as well as the government
8 moderators who will be walking through each one of
9 the panelists. There is a, as Carrie mentioned, a
10 wealth of expertise within the government, not
11 just at NIH but across all government agencies
12 that have an opportunity to help support life
13 sciences research. We meet on a very regularly
14 basis to ensure that we have consistency and a
15 harmonized process for how we are understanding
16 the dual use research of concern policies, how
17 we're implementing them and how we're going to be
18 transparent in working with all of you to ensure
19 that we are working together as a life sciences
20 community to develop the mesh work to reduce the
21 risk that is posed by dual use research of
22 concern.

1 I also wanted to welcome our
2 international colleagues. We have a delegation
3 from France who is here. We have had the
4 opportunity from the White House and NIH as well
5 to work with them over the past few years on what
6 they are doing in this space, and we had an
7 opportunity last summer to spend some time with
8 representatives from the French government, and
9 they have likewise started developing a framework
10 to help understand the risks posed by dual use
11 research of concern but also how to mitigate it.
12 And they are not alone. This is an international
13 dialogue that needs to take place. Our colleagues
14 from the State Department are helping to
15 facilitate that as well. And so we need to ensure
16 that we're integrating and fostering the
17 relationships on an international level to make
18 sure that anything that we are working on
19 productively here in the United States can be
20 translated to the rest of our global partners.

21 I also want to welcome industry. There
22 was a time where the government, the federal

1 government or state and local governments were the
2 -- supported the preponderance of life sciences
3 research. I -- what I see as a very exciting
4 development is recently, we are seeing more and
5 more private industry that is stepping up and
6 playing a role in all of the good that comes from
7 life sciences research. And so for those who are
8 in the industry area, we welcome you to help join
9 the conversation in understanding the nature of
10 the risk posed by doing this research and how we
11 can work with you to help mitigate that risk.

12 And then finally academia, so by-and-
13 large, academia represents one of the largest
14 sectors of life sciences research, and so making
15 sure that we have a robust and consistent dialogue
16 with you as you go to implement this particular
17 policy as well as other policies in this area, we
18 understand what's working, how it's working,
19 what's not working and how we can do it better.
20 And so as Carrier had said, we look forward to
21 your feedback as well and we welcome your
22 participation today.

1 Life sciences research is critical.
2 Make no mistake. This is not something that a
3 fear factor should be put in place and we don't do
4 it. We must continue to support everything good
5 that comes out of the life sciences research, the
6 biomedical and public health advances,
7 improvements in agriculture, safety, quality of
8 our food supply, environmental quality and, of
9 course, strong national security and economy. We
10 need to make sure that we have a robust pipeline
11 everywhere from the basic research elements
12 through advance research development and fielding
13 of technologies and tools.

14 And so one consistent theme that we have
15 to make sure is as prominent in the dialogue as
16 the risks are the benefits. So as we go through
17 today, what I'm hoping that we hear part of the
18 dialogue is how do we make sure that we're
19 balancing that equation.

20 So just some definitions so that we have
21 a common lexicon to go through the rest of the
22 day. So the dual use research is essentially the

1 research that's conducted for legitimate purposes
2 that generates the knowledge, information,
3 technologies, or products that can be utilized for
4 both the positive, the benevolent, as well as
5 potentially harmful purposes. Dual use research
6 concern is largely that same research but that can
7 be directly misapplied to pose a significant
8 threat with broad potential consequences to public
9 health and safety. And it's that specific sector
10 of that definition, "that could be directly
11 misapplied," is where one where we need to
12 maintain a dialogue.

13 There is, as there has always been, an
14 evolution in the way that life sciences are
15 conducted, the way that knowledge is gathered, the
16 way that it's applied, and so a definition of
17 "direct application," "misapplication for a
18 harmful outcomes" in 1980 would not stand in 2015.
19 We have to make sure that there is an integrated
20 approach both in the life sciences as well as the
21 security sector so that we know what could be
22 exploited for negative purposes and how we might

1 put in policies or guidance to mitigate that. And
2 so that critical "directly misapplied for
3 significant threat to public health and safety" is
4 one that we have to use as a filter as we're
5 evaluating the dual use research.

6 So there have been over the past decade
7 or so incidents where we can directly look at a
8 set of experiments or the development of a
9 knowledge product and point to it and say, "You
10 know what, that probably was dual use research of
11 concern." In 2001, the very classic and the one
12 experiment that's often held up as sort of kicking
13 this dual use research of concern genre was the
14 Australian lab that introduced the IL4 gene into
15 the ectromelia virus to produce what was the
16 positive benefit which is the contraceptive
17 vaccine to control the wild mouse population.
18 What became interesting is that that modified
19 virus then was more potent, more virulent than the
20 wild type in naive mice and was able to overcome
21 existing immunity in the mouse population. And s
22 when you had the combination of a transmissible

1 virus in combination with an immunomodulator, it
2 raised some concerns if that was the outcome in
3 that particular scientific setting; how could it
4 be extrapolated, and there was, of course, robust
5 dialogue within the government.

6 In 2002, the Polio genome was
7 reassembled from oligonucleotides. So being able
8 to construct de novo a pathogen with human health
9 implications not from an actual virus that was
10 taken from a patient but actually constructed
11 purely in the laboratory was the identification
12 of, you know, reconstructing from sequence
13 information alone of a particular or a potential
14 pathogen.

15 In 2005, there was the reconstruction of
16 the 1918 pandemic influenza virus. In 2005, there
17 was also the description of modeling for
18 vulnerabilities if the supply chain of milk was
19 contaminated with botulinum toxin. So here we had
20 an example of not actually the production of a
21 pathogen that had the potential to cause harm but
22 the knowledge and the understanding of where

1 vulnerabilities lied in our food supply chain and
2 the potential significant outcomes that would
3 happen if someone were to adulterate the food in
4 such a way. And so it expanded our understanding
5 of dual use research of concern beyond just bench
6 scientists but also those who are thinking about
7 broader applications of, you know, using biology
8 to cause harm.

9 And then in 2011, many people in the
10 room, you know, this was the first opportunity
11 directly within government to think about, you
12 know, the transmissibility of a pathogen between
13 animal models where we had two labs demonstrating
14 H5N1 avian influenza and there was very robust
15 dialogue that took place within the NSABB which
16 is, as Carrie mentioned, such a critical component
17 of how the government thinks about dual use
18 research of concern and all of the deliberation
19 and the dialogue that went on both in the Fall of
20 2011 -- with discussion of the implications of
21 that type of research, both from the development
22 of a pathogen standpoint but also what is the

1 knowledge gained regarding virulence aspects of
2 those pathogens, transmissibility aspects of those
3 pathogens that could be then recapitulated by
4 someone whose intention was not to enhance public
5 health and safety but rather to exploit
6 vulnerabilities. And then that directly led in
7 2012 to the development of which the dual use
8 research of concern policy for the federal
9 government often referred to as the March 29th,
10 2012 policy.

11 And then most recently, this past
12 summer, there were a series of incidents that
13 involved the discovery of vials of smallpox and
14 then, you know, the bacillus anthracis most
15 recently that had not been fully inactivated
16 before shipment but also that was potentially held
17 in a place that did not have the qualifying
18 security measures in place. There was also the
19 contamination of a non-pathogenic avian influenza
20 with a pathogenic avian influenza, and then
21 transmittal of an Ebola virus from a high
22 containment laboratory to a lower containment

1 setting that also had not been inactivated.

2 And so all together, we have a series of
3 events that continue to bring to life the need to
4 make sure that we have robust policies in place to
5 reduce the concerns posed by these pathogens and
6 the research that is conducted on them as well as
7 the knowledge of how they might be exploited.

8 Okay. So as Carrie had mentioned, the
9 goal of the dual use research of concern policies
10 that are in place and any that are developed for
11 the gain of function component as well is it
12 preserve the benefits of the life sciences
13 research while minimizing the risk of the misuse
14 of the knowledge, information, products, or
15 technologies provided by such research. And we
16 have to make sure that the policies that are put
17 in place such as the federal policy for dual use
18 research, the institutional policy, or any gain of
19 function policy that's developed after this
20 complement the existing regulations and policies
21 governing the safe and secure use of pathogens
22 without adding to the redundancy or the confusion

1 or any of the negative aspects of the policies
2 that could be put in place.

3 So the two figures that I have at the
4 bottom of this slide are -- the first one is a
5 reminder, right; the coffee cup scenario. So had
6 there not been instances when there dual use
7 research of concern had risen to our awareness, we
8 wouldn't need to develop these policies, but there
9 are -- there have been in the past and there will
10 be in the future. And so we need to make sure
11 that we are working together to develop
12 appropriate policies to mitigate the risks.

13 On the other hand, we have to make sure
14 that there is a balanced approach to developing
15 and implementing these policies, which is why it's
16 so critical that you are here in the audience
17 today and at home on the West Coast, hopefully in
18 bunny slippers and drinking your coffee, but, you
19 know, the balance is between doing nothing, sort
20 of the Wild Wild West approach where we anticipate
21 that 99.9 percent of the research and the
22 researchers that were working in this space are

1 doing it for the appropriate reasons.

2 But there is that potential that, you
3 know, things could go wrong one way or another.
4 And so we need to put polices in place but we have
5 to -- we can't overreact; right? So that's the
6 other side of the equation is sort of the "Chicken
7 Little" approach where, you know, we halt the
8 productive science that will be done and put in
9 place because of, you know, an overabundance of
10 caution, recognizing that we are working with the
11 most potentially dangerous pathogens on earth, but
12 the productive life sciences that are stemming
13 from this research need to be maintained for all
14 their productive purposes. And so that is the
15 goal and that is the challenge that the government
16 faces in developing these policies. And so this,
17 hopefully, is not the only time where we'll have
18 this dialogue and we'll have an opportunity to
19 have a discussion to integrate the public input
20 into the policies as they are both being
21 implemented as well as being developed.

22 Okay. So that's the general backdrop

1 and I just want to go over the Institutional DURC
2 policy doesn't operate in a vacuum. There are
3 other policies that are in place. There's an HHS
4 framework for highly pathogenic avian influenza.
5 As I mentioned, there was the 2012 policy which
6 looks inwardly towards the federal government and
7 how we provide oversight for life sciences dual
8 use research. There is the Institutional
9 oversight that is the subject of discussion today
10 and then as many, if not all, of you are aware,
11 there is there also the ongoing dialogue about a
12 productive gain of function policy that is being
13 led both with the National Science Advisory Board
14 for Biosecurity in conjunction with the National
15 Academies of Science with input from the
16 government as well as public stakeholder. So
17 that's the suite of policies that will be put in
18 place or are in place to help shepherd production
19 research while reducing the risk.

20 So in terms of this particular policy,
21 it does leverage what was done in March of 2012 in
22 terms of the same number of pathogens, the

1 pathogens that were identified back in 2012 as
2 posing the most critical risk where they're
3 essentially the tie one select agent pathogens. I
4 won't go through each one of them. You can find
5 your pathogen of interest. It's up here. But they
6 were put there because they were identified at the
7 time as posing the most existential risk in terms
8 of dual use research. We know that they can cause
9 harm to human health or animal health, and we know
10 that manipulation of them I a variety of different
11 ways could, you know, either develop a pathogen
12 that has enhanced characteristics leaving us more
13 vulnerable to the health impacts, or the research
14 could be exploited, the knowledge of the research
15 could be exploited to directly be used for
16 nefarious purposes.

17 The seven experimental effects, again, I
18 think we're fairly comfortable. These have been
19 around essentially since the Fink Report looking
20 at what types of experiments do we find may
21 enhance the characteristics or alter the
22 characteristics of a pathogen to cause harm. They

1 are consistently represented in the Institutional
2 DURC policy. It's important that -- perhaps this
3 will come up over the course of the dialogue -- is
4 that there is a subjectivity associated with
5 interpretation these seven experimental effects.
6 It's something that we have talked about
7 internally, so the government, I imagine, it's
8 something that has been discussed in your
9 institutions as you've been working through how to
10 implement this policy. And so that's an area
11 where we need to continue to have a dialogue, that
12 the 15 pathogens that are static, I mean we all
13 recognize what those are. But in terms of the
14 experimental effects and the potential
15 exploitation or how they are conducted, that
16 evolves as technology evolves and our
17 understanding of how things could be developed in
18 a lab evolve as well. And so this is an area as
19 we move forward on this policy or any gain of
20 function that needs to remain flexible to
21 accommodate changes in our -- in the risk
22 environment.

1 So in terms of practical application of
2 putting this policy in place, if an institution is
3 working on one of those 15 pathogens and there is
4 a potential that they're using one of the seven
5 experimental effects, they're conducting research
6 that would have one of the seven experimental
7 effects, that would be consistent with how we
8 would term dual use research of concern.

9 But there is that third sort of leg of
10 the stool that we talked about earlier which is
11 does it present to reasonably anticipate it
12 provide information or a product that could be
13 directly misapplied. And that's where a robust
14 dialogue with those who understand the research
15 needs to take place. It's not just enough to meet
16 the first two criteria. It has to meet this third
17 criteria which is direct misapplication to cause
18 harm. And so we need to make sure we're having a
19 consistent discussion amongst federal partners,
20 institutions, academia, private sector, and also
21 with our international partners to understand what
22 is direct misapplication and how do we ensure that

1 we have a consistent application of the first of
2 those three criteria, the 15 pathogens, the seven
3 experiments of concern, and then this
4 interpretation of direct misapplication so that
5 we're all moving forward together in how we're
6 applying this policy.

7 So if there is the sort of research
8 that's being done in an institution that meets the
9 criteria, those three criteria, there is a
10 requirement to develop the risk assessment-risk
11 mitigation strategies or do risk assessment and
12 develop a risk mitigation strategy. And you're
13 going to hear an entire section on this so I won't
14 spend a great deal of time now other than to say
15 that this is a very critical component. This is
16 how we can make sure that that type of research
17 can go forward and have some sense that the
18 appropriate measures are being put in place at
19 every level of an institution and within the
20 federal government to mitigate the risk posed by
21 that research. And then there's a variety of ways
22 that one can develop a risk mitigation plan and

1 they're reflected here but you'll hear much more
2 about them in this particular section during the
3 workshop.

4 This is an area where I have heard now
5 since the publication of the policy last fall a
6 great deal of concern. How do we know which of
7 these risk mitigation strategies are most
8 appropriate for the type of research that we're
9 doing? How do we know that the risk mitigation
10 strategy that we are pulling together is going to
11 meet the expectations of the federal funding
12 agency? These are great questions and these are
13 questions as you are -- as the research in your
14 institutions is moving forward and your questions
15 come up, this is why we have to have an open
16 dialogue. This is why there is that
17 durc@osep.eop.gov and that is why so many of your
18 federal funding authorities are in the room today
19 or watching this webcast, because our
20 responsibility is to help you develop appropriate
21 risk mitigation strategies, make sure that that
22 research is going forward but that we are putting

1 together appropriate and substantive risk
2 mitigation strategies to reduce the concerns about
3 the research.

4 So there is something for everyone in
5 this policy. There are responsibilities at all
6 levels of the institutions so again, I'll just
7 highlight these at sort of a generic level. You
8 can read these and I expect these slides will be
9 made available. I should also say that there is a
10 set of training that NIH has developed that's on
11 that -- the HHS S3 website which you can also look
12 at the key responsibilities of the institutional
13 and other officials.

14 But basically, when we designed this
15 policy, we wanted to make sure that there was a
16 meshwork in place at all levels of institutions
17 and in any engagement with the federal government
18 so that we had a full understanding at each
19 institution that it wasn't just a PI's
20 responsibility it wasn't just the bench
21 scientists, it wasn't just the institutional
22 responsible official, it was everyone working

1 together in that institution to build out the
2 appropriate understanding of what dual use
3 research of concern is and how to mitigate it when
4 it's seen.

5 So there are two key responsibilities
6 for the institutions. The first is establish and
7 implement policies and practices for
8 identification, oversight of dual use research of
9 concern and that includes all of the bulleted
10 items that you see listed below.

11 And the second thing is an institution
12 is required, if there is an identification of DURC
13 that's ongoing, to notify the government funding
14 agencies so it's to make sure that there is
15 dialogue between the institution, they're aware of
16 what's going on, they're aware of who's working on
17 what. And if there is an identification that we
18 do, in fact, have some DURC, that should in no way
19 be -- working on DURC should not be a pejorative.
20 It should not be something that oh, you know,
21 doctor so-and-so's lab is working on DURC.

22 not at all.

1 What it is -- what it means is that it
2 just needs to have situational awareness that this
3 particular set of experiments, this type of
4 research is in that sensitive category and so the
5 institution is encouraged and obligated to make
6 sure that they're contacting the federal
7 government for and understanding that that's
8 ongoing.

9 The key responsibilities for the
10 investigator, so a down one level from sort of at
11 that higher sort of administrative level, is to
12 make sure that in the lab that you are overseeing,
13 the work that's being done on your behalf with the
14 federal funding, with the grant money, you know
15 what the students and the lab techs and the post
16 docs are doing in the lab, so working with them to
17 identify and refer all of the dual use research to
18 the institutional officials, working with the
19 institutional officials to make sure that they
20 understand what technically is going on, what are
21 the risks, what are the benefits and be able to
22 help support the development of the risk

1 mitigation plan from a technically defensible
2 standpoint.

3 It's also a key aspect of the
4 investigators is to make sure that there is an
5 understanding by lab members what dual use really
6 is. Having been in the lab for several years as
7 an undergrad or grad student post doc, I'm not
8 sure that I was always in touch with the Federal
9 Register. As a matter of fact, I can say I never
10 read the Federal Register. I think that making
11 sure that as a PI, you have situational awareness
12 of what the federal government is doing and
13 communicating that to the entirety of your lab so
14 that they have -- they're sensitized to the work
15 that they're doing.

16 And then there's also a need to
17 communicate dual use research of concern in a
18 responsible manner throughout the research process
19 and not just at the point of publication. This is
20 also an area that we need to have additional
21 dialogue. We certainly don't want institutions,
22 PIs to start censoring themselves, to be in a

1 vacuum without a dialogue with their institutions,
2 without their federal funding partners, to start
3 thinking you know, boy, I shouldn't publish this
4 sequence, I shouldn't talk about this (inaudible),
5 those sorts of things. That may be appropriate.
6 That may be something that you need to talk about
7 with your institution or with your federal funding
8 partners but we shouldn't have any sort
9 overreaction in terms of undermining what's so
10 critical and what's so great about how we conduct
11 life sciences research here in the United States,
12 which is we have open data requirements. We --
13 you know, if you publish something in a journal,
14 you make available the information so that
15 somebody else can do similar type of experiments.
16 That's the whole basis of peer review is to be
17 able to do that type of work. And so we need to
18 figure out the balance between putting out
19 information that can be directly misapplied with
20 what we need to make sure is going on in science
21 so that we have the best science conducted to
22 enhance, of course, public safety and health.

1 Okay. So there are some very technical
2 areas about the IREs. You know, they have to have
3 at least five members. We would hope they have
4 knowledge of why it is that they're meeting the
5 government policy, and they have to have a bronze
6 rate of expertise. I know that, you know, in
7 administrative levels within certain settings,
8 there are various ways that that type of a
9 committee can be made, but it's so critical that
10 you have scientists as part of the review
11 committee that understand the science, that can
12 appropriately weigh the risks and the benefits and
13 aren't entirely reliant on one voice or another to
14 be able to make that decision. So it's absolutely
15 critical that scientists are supporting the IREs
16 in the institutional review.

17 The IREs are ultimately going to be
18 responsible for verification of the research. You
19 know, the 15 agents is sort of the easiest part;
20 the 7 experimental effects is the more subjective
21 part and then the most subjective part is the
22 determining whether or not something is DURC. And

1 then if it is determined to be DURC, why it's so
2 critical to make sure scientists are part of the
3 IRE is that you have to conduct the risks and
4 benefits. Once all of the sort of the
5 intellectual components of the evaluation of dual
6 use research of concern are completed, the more
7 formulae is to develop or to work with the federal
8 funding agencies to work with a risk mitigation
9 plan and then certainly once a DURC is identified,
10 the risk mitigation plan is put in place. There
11 needs to be ongoing evaluation. Things change in
12 a lab. Everyone who's ever tried to make a
13 construct and put it in a pathogen and see what
14 happens knows that research goes in all sorts of
15 directions. It could end up being completely non-
16 pathogenic. It's not DURC and that can be
17 explained to those who need to continue evaluating
18 for DURC. It can go an entirely different way and
19 it can go much more like the ectromelia IL4
20 research. There just has to be a consistent
21 dialogue to ensure that nothing is slipping
22 through the cracks one way or another.

1 It's, as I think many of you have
2 pointed out, who is going to be coordinating with
3 the federal government, who is going to make sure
4 that there is a consultive process that happens
5 between the institution and the government as well
6 as, you know, within the institution and that's
7 the responsibility of the institutional contact
8 for dual use research. And so this person needs to
9 have an operating knowledge of the funding agency,
10 the policies in place, and they really need to
11 make sure that they are active in that dialogue
12 between the institutions and the government, and
13 they are such a critical component to implementing
14 this policy that considerable thought needs to be
15 given to who ought to be put in that position.

16 So the federal government also does not
17 get off easily. There are responsibilities of the
18 government funding agencies. We -- the federal
19 government funding agencies -- and as I said, you
20 know, there are tireless workers within the
21 federal government and that's one thing that just
22 institutions, if you take nothing else away from

1 this dialogue, know that you have, depending on
2 who your funding, what area you work on, you have
3 someone on the other end who is thinking very hard
4 about how to maximize utility of this policy,
5 what's working, what's not working. And the
6 federal government does require policy
7 implementation at all institutions that are
8 subject to this policy.

9 When the federal funding point of
10 contact is notified by an institution that
11 research is meeting the scope of the policy, they
12 need to notify the institution of the U.S.
13 government agency if it disagrees with any part of
14 the IRE's review outcome. So that's an opportunity
15 for the institutional review entity to articulate
16 to the federal government here is why we think
17 this is DURC or not DURC, here's the risk
18 mitigation plan we put in, here's how we think
19 that it solves the problem. That's going to be an
20 ongoing dialogue and it should be anticipated that
21 there is a very robust dialogue.

22 As I said, this is a meshwork. We are

1 all in this together and so we have to make sure
2 that there isn't -- there's no complacency. We
3 challenge ourselves, make sure we're doing
4 everything that's in the best interest of the
5 public for this type of research, and so we have
6 that discretion about, you know, have we looked at
7 every aspect of the research, have we looked at
8 every aspect of the risk mitigation strategy, and
9 are we doing the best to make sure that we haven't
10 missed anything.

11 And then we're also, the federal
12 government, as I said, is required to respond to
13 the questions from the institution regarding the
14 DURC oversight and compliance with the policy.
15 That's our job. So we put the policy in place.
16 Our obligation to you is to make sure that you
17 understand it, that you know how to implement it,
18 and if there are any questions, concerns, or
19 comments, that we can address them. And so that
20 is why we're here today and that's why we have
21 that email address where you have the opportunity
22 to engage. And you should also, as institutions,

1 get to know your funding agencies and the point of
2 contacts there so you can have that ongoing
3 dialogue.

4 So as I mentioned, there is that email
5 address. It is staffed. It's not an email
6 address to nowhere. I actually have it. It comes
7 to my desk and it comes to others as well, so I do
8 monitor that to just get a sense for how the
9 questions that are ongoing about implementations
10 of this policy -- and then where there are
11 significant issues that potentially need White
12 House attention to make sure that I have full
13 situational awareness of what's going on.

14 There is also a wealth of materials
15 which is at the S3 website. I highly, highly
16 encourage as you are working on implementing this
17 institutional policy go to the website, look at
18 the case studies. We'll hear one today. There
19 are more on the website. Look at the training
20 slides. Training is a required element of this
21 policy. There are a set of training slides that
22 have been developed by NIH.

1 And then if I can just close by one last
2 thing is as I've said, this implementation of this
3 policy, implementation of any follow-on policies
4 for gain of function need to be in an open and
5 transparent manner. We need to make sure that you
6 understand what it is that we're thinking and why
7 we're putting these policies in place. But we
8 also need to understand how -- the challenges
9 you're facing in implementing, whether it's
10 confusion about the language, confusion about an
11 action, confusion about decision-making, any of
12 those sorts of things. Our job is to make sure
13 that you can do your job and so please look at the
14 materials on the S3 website, send your emails to
15 durca@ospt.gov, and let's make sure that we have a
16 productive set of policies in place that are doing
17 exactly what it is that we hope to do, which is
18 buying down the risks posed by dual use research
19 of concern.

20 So I'm going to stop there. I will be
21 here all day. I will also be here at the end
22 where we'll have some additional dialogue and

1 identify any questions. Please feel free to reach
2 out to me, reach out to your colleagues here as
3 well, and we'll try to make this a highly
4 productive discussion. So thank you very much for
5 your attention and I will turn it over to Carrie.

6 (Applause.)

7 MS. WOLINETZ: Thank you so much, Susan,
8 and I'm actually going to turn it directly over
9 with no further ado to Marci Wright who is going
10 to lead us through the case study.

11 MS. WRIGHT: Can you folks hear me?
12 Let's see. Well, hopefully, that's better. That
13 sounds good. Okay, perfect. Hi. Good morning.
14 I'm Marci Wright. I'm with the U.S. Department of
15 Health and Human Services Office of the Assistant
16 Secretary for Preparedness and Response and would
17 like to welcome you to today's discussion and it's
18 so nice to see so many familiar faces out there.

19 We -- I want to give folks who are
20 joining us by webcast an opportunity to follow
21 along with the case study. It will not,
22 unfortunately, be an interactive session for the

1 case study but we still welcome your comments and
2 your feedback. And as Susan mentioned, we
3 encourage you to submit your comments on the case
4 study at durc@ostp.gov. You can download the case
5 study and the institutional DURC oversight policy
6 at phe.gov/s3/durcworkshop. So I just want to
7 give folks who are one to get those materials.
8 Does everyone have a copy of the case study in
9 your hand?

10 (No audible response.)

11 MS. WRIGHT: Okay, anyone not have it?

12 (No audible response.)

13 MS. WRIGHT: All right, great. I just
14 want to provide a few little guidelines for
15 today's discussion. This is the fun -- well, the
16 rest of the day is the fun interactive portion and
17 especially now, so we're really hoping for your
18 frank comments, feedback on the case study. And I
19 want to just throw out a few little guiding
20 principles that we used in our partnership to
21 develop the case study as well as how well managed
22 going forward.

1 So when we developed this, we clearly
2 developed something that was fictional and that
3 had no representation of or connection to any
4 individual, any institution, or any research
5 protocol. Our guiding principles clearly are that
6 we're all committed to supporting and promoting a
7 collaborative, interactive and learning
8 environment for this case study. Clearly, we want
9 to illustrate the institutional DURC oversight
10 policy process so that folks have a clear
11 understanding of what requirements are from all
12 entities and stakeholders involved in this effort
13 and then broadly that we emphasize a culture of
14 responsibility for DURC oversight and the
15 responsible conduct and communication of research.

16 So our learning objectives today are two
17 define dual use research of concern and then Susan
18 has provided that definition. We'll return to
19 that clearly in this case study to understand the
20 scope of the institutional DURC oversight policy,
21 and then to clarify the roles and responsibilities
22 of the many stakeholders that have equities in

1 this process and those are listed here. We want
2 to ensure that principle investigators, that
3 institutions, that those who might populate or
4 staff an institutional review entity or might
5 staff the institutional contact for dual use
6 research and then certainly, the U.S. Government,
7 that we all are clear about what we are to do in
8 how to apply this case study.

9 So in this case study, we are going to
10 be using *Francisella tularensis*, a bacterial
11 pathogen that's the causative agent for tularemia,
12 a serious disease. We wanted to use *tularensis* as
13 the model system for this case study for a couple
14 of reasons. First, it is generally manipulated in
15 biosafety level three conditions depending on
16 biological risk assessment. It -- there are
17 several subspecies and strains that are either
18 subject to or exempt from the select agent
19 regulations. And the goal of using this was to
20 give us the most leverage and flexibility that we
21 could to exercise multiple aspects of the case
22 study.

1 We recognize that there are technical
2 aspects to this case study that might not reflect
3 the current up-to-date technical knowledge base.
4 Please bear with us on that. However, if there
5 are aspects that directly impact an understanding
6 or interpretation of pieces of the policy, please
7 do let's talk about those things.

8 So Susan's done an outstanding -- Susan
9 and Carrie have done an outstanding job of framing
10 the issues that the U.S. Government and that our
11 external to government stakeholders are dealing
12 with and grappling with as we apply this policy,
13 and so I want to work very carefully to ensure
14 that I have established a toggle or a primer, if
15 you will, for the three criteria that we will be
16 returning to during the exercise of this case
17 study. And essentially, we're going to be asking
18 does the research involve one of the 15 agents or
19 toxins listed in the policy. We're going to ask
20 will the research produce or aim to produce any of
21 the seven experimental effects listed in the
22 policy. And then finally, we will ask does the

1 research meet the definition of DURC as described
2 in the policy.

3 All right. So with that said, let's go
4 ahead and jump in. I should mention that this is
5 supposed to be highly interactive so I will be
6 asking questions to the large group. I will also
7 ask you to consider chatting with your neighbors
8 at times during the case study to talk amongst
9 yourselves and sort of think through some of the
10 questions that we might not have clear answers to
11 during this case study. And Dana Perkins, thank
12 you so much for agreeing to provide a mic for
13 folks who might wish to respond.

14 So let's go ahead and jump in. Part
15 one, so we are introduced to Dr. Jameson, a
16 tularemia expert who has just joined Boyle
17 University. Dr. Jameson is interested in how
18 Francisella tularensis infects a million cell
19 lines, and he's specifically interested in the
20 role of type 3 secretory system pathways. And so
21 he wants to characterize the type 3 secretory
22 system pathway using Francisella tularensis

1 subspecies novicida. And he's going to do this
2 through modifying T3SS effector genes.

3 He seeks and gets IBC approval from Ms
4 Locke, his institutional biosafety officer, and
5 she reviews the protocol. The IBC reviews the
6 protocol. They consult with the Office of
7 Biotechnology Activities at NIH and approve the
8 work to be done in biosafety level two conditions.
9 So first question that we're asked is, is this
10 experiment subject to the policy and folks can
11 just call out an answer.

12 PUBLIC SPEAKER: (Inaudible.)

13 MS. WRIGHT: So we have a yet and a no.
14 Any firm yeses?

15 PUBLIC SPEAKER: (Inaudible.)

16 MS. WRIGHT: Any firm "nos"?

17 PUBLIC SPEAKER: I would say no.

18 MS. WRIGHT: So we have a no in the
19 back?

20 PUBLIC SPEAKER: (Inaudible.)

21 MS. WRIGHT: Could you repeat that,
22 Patricia?

1 PUBLIC SPEAKER: I would say no because
2 it's an attenuated organism and on the select
3 agent list.

4 MS. WRIGHT: So it's not on the select
5 agent list. So we want to apply that first
6 question that we ask; does the research involve
7 one of the 15 agents or toxins listed in the
8 policy and for the current federal select agent
9 regulation policy, the Francisella subspecies
10 novicida is exempt and excluded from select
11 regulations.

12 Okay. All right. So moving to page
13 three, Dr. Jameson, he's working with novicida but
14 he decides that he would actually like to change
15 species. He's going to move to subspecies
16 tularensis tularensis. He's working with the
17 strain SHUS4. This is a non- attenuated virulent
18 strain. He still wants to characterize the type
19 three secretory system pathway by modifying
20 effector proteins in that pathway. He
21 specifically communicates that he wants to disrupt
22 these effector proteins. So he asked -- he

1 approaches this time the IBC Chair, Dr. Greenore,
2 and asks how to get approval for the amended IBC
3 registration.

4 So let's just take a few minutes just to
5 read through that piece on page three. So we're
6 posed with the same question. Is this experiment
7 subject to the policy? Any yeses or nos? I heard
8 a yes. Any nos?

9 PUBLIC SPEAKER: (Inaudible.)

10 MS. WRIGHT: No nos. And yes, so why?

11 PUBLIC SPEAKER: Now the virulent strain
12 is on the select agent list.

13 MS. WRIGHT: So this is tularensis
14 tularensis SHUS4. it does not have the CLP delta
15 exclusion and therefore is subject to the select
16 agent regulations. And Dr. Wyant's (ph) shaking
17 his -- nodding his head I believe.

18 All right. Okay. So before we do this
19 or before we move on, we should ask what
20 additional information at this point should Dr.
21 Greenore communicate to Dr. Jameson about how to
22 proceed with this particular experiment? So Dr.

1 Jameson's gone to Dr. Greenore. He said, "Hey, I
2 need to amend my IBC regulation or submit a new
3 one to work with tularensis tularensis." Dr.
4 Greenore is the chair of the IBC.

5 Is there any additional information that
6 she can communicate to Dr. Jameson? Hint: why
7 we're here today.

8 PUBLIC SPEAKER: (Inaudible.)

9 MS. WRIGHT: Sure. We're hoping -- so
10 the reason that we selected having Dr. Jameson
11 first approach the institutional biosafety officer
12 and then approach the institutional biosafety
13 committee chair is that in many governance
14 structures, that first handshake, that first
15 communication between the investigator and the
16 oversight structure of the university occurs
17 between the investigator and the BSO or the
18 investigator and the IBC chair. So we are hoping
19 that both of these individuals will have some
20 knowledge of the institutional DURC oversight
21 policy so that they can appropriately ferret and
22 funnel people to the appropriate individuals.

1 So some of the additional information
2 that Dr. Greenore might communicate would be to
3 introduce the policy, introduce the ICDUR, and
4 introduce the institutional review entity process.

5 Okay. All right. Moving on to page
6 four. For this part, we do ask that you find a
7 neighbor or a couple of neighbors and read through
8 page four and consult with your neighbors to think
9 about answering questions four through seven.

10 PUBLIC SPEAKER: (Inaudible.)

11 MS. WRIGHT: So that's a great question.
12 Do you believe as the case study is written that
13 Dr. Greenore had enough information to advise --
14 or that the IRE, if it were going to be convened
15 to look at this, would have enough information?

16 PUBLIC SPEAKER: (Inaudible.)

17 MS. WRIGHT: No, we don't. For the --

18 PUBLIC SPEAKER: (Inaudible).

19 MS. WRIGHT: Okay. So the answer for
20 the purposes of this case study and the experiment
21 that we've -- that Dr. Jameson is proposing for
22 experiment to, the answer is "no." He's actually

1 doing -- conducting a loss of function experiment,
2 right, so he wants to disrupt the T3SS effector
3 mechanism.

4 PUBLIC SPEAKER: 0:54:55 (Inaudible.)

5 MS. WRIGHT: Sure, tell me more.

6 PUBLIC SPEAKER: (Inaudible.)

7 MS. WRIGHT: Right. And so I believe in
8 the --

9 PUBLIC SPEAKER: (Inaudible.)

10 MS. WRIGHT: -- right. Okay. So Dr.
11 Burns, can you repeat your question? It's a great
12 question.

13 PUBLIC SPEAKER: Question is where are
14 we with regard to the Biosecurity aspect since
15 it's a select agent registration (inaudible) --

16 MS. WRIGHT: Absolutely. So we've got a
17 little language in the case study that indicates
18 that Dr. Jameson's research is registered with the
19 select agent program. The facilities registered
20 so he is up to date and free and clear to work
21 with tularensis tularensis. A great question.
22 Okay. All right. So let's move on.

1 PUBLIC SPEAKER: (Inaudible.)

2 MS. WRIGHT: That is correct. Thank
3 you. Thank you, Rick. That is correct. Yeah. He
4 would -- we're operating on the assumption that
5 he's met all of the requirements with the select
6 agent program here at Boyle University.

7 All right. So moving on, we are going
8 to move on to page -- let's see where I am -- page
9 four. All right. So here Dr. Greenore, having
10 learned that Dr. Jameson wants to modify these
11 effector proteins and he wants to use tularensis
12 tularensis, tells Dr. Jameson that his experiment
13 may be subject to this policy and that he needs to
14 submit this proposal to the newly established
15 institutional review entity. So this is the first
16 time we're hearing about the IRE or the
17 institutional review entity. So Dr. Jameson says,
18 "Well, what is this about; what do you mean; what
19 is DURC?" And Dr. Greenore begins to describe
20 DURC. And Dr. Jameson says, "Well, you know, I
21 really don't think that my research rises to the
22 level of DURC so therefore why do I need to engage

1 the IRE?"

2 And so Dr. Greenore clarifies that not
3 all research subject to the policy is DURC, so not
4 all research conducted on one of those 15 agents
5 is -- will be DURC but that research on any of
6 those 15 agents listed still must be reviewed for
7 the potential to be DURC. So as soon as the
8 investigator has identified or disclosed that he
9 or she is working on one of those 15, the IRE
10 needs to be notified and the university needs to
11 have a -- the institution needs to have a
12 mechanism in place to engage the IRE.

13 So we're asked question number four.
14 What methods can be used to socialize the policy
15 to investigators so that they're clear on the
16 process?

17 PUBLIC SPEAKER: Maybe some kind of
18 mandatory online training similar to the trainings
19 that investigators already get for if they're
20 working with BSL2 agents, where they're going to
21 be registered that they're in a certain lab or
22 they're working with a certain thing and so

1 they'll be required to participate either one time
2 or annually in some kind of online training.

3 MS. WRIGHT: Absolutely. Thank you.

4 Any other comments? We have -- I think we have a
5 comment in the back.

6 PUBLIC SPEAKER: You could consider
7 adding institutional controls like putting in some
8 lines about DURC in their IBC applications as well
9 as when they're processed -- when their grants are
10 being processed through the university system,
11 some components in there to make them address the
12 issue at an early stage.

13 MS. WRIGHT: For -- this is a little bit
14 easy because we're dealing with agents that are
15 already on the select agent list so we would
16 expect that the responsible official and the
17 select agent program at an institution is going to
18 be very clear who is working with what, when, and
19 assist with making sure that that communication
20 occurs and that the training is being conducted to
21 ensure that the PI is aware of what his or her
22 responsibilities are under the policy.

1 So Dr. Jameson still clearly has some
2 questions, so Dr. Greenore says, you know, you
3 really need to talk to the ICDUR, so that beloved
4 term, -- institutional contact for dual use
5 research. And so Dr. Jameson says okay and then
6 Dr. Jameson begins to engage Mr. Middleton who is
7 the Senior Vice President for Research at the
8 institution and who functions as the ICDUR.

9 So who could function in this role at an
10 institution?

11 PUBLIC SPEAKER: (Inaudible.)

12 MS. WRIGHT: So I heard responsible
13 official.

14 PUBLIC SPEAKER: I was going to see
15 either a select agent responsible official or
16 perhaps vice president of research at the
17 institution.

18 MS. WRIGHT: What is the role of the
19 ICDUR. Jumping ahead a little bit but what is the
20 role of the ICDUR according to the policy; what
21 does the ICDUR do?

22 The ICDUR is the -- go ahead.

1 PUBLIC SPEAKER: (Inaudible).

2 MS. WRIGHT: Right. The ICDUR, the
3 primary responsibility is that is the individual
4 who is going to communicate with the funding
5 agency on the outcome of the IRE's deliberation,
6 on whether a particular proposal rises to the
7 level of DURC, on the development and submission
8 of a risk mitigation plan and then going forward,
9 sequelae from that. So the ICDUR is that
10 institutional contact who will communicate with
11 the funding agency.

12 So moving on to page five, let's --
13 again, we're going to ask you to consult with your
14 neighbors to talk a little bit about this. I'm
15 skipping over questions five and six. We're going
16 to get back to training a little bit later on in
17 the case study. So Dr. Jameson talks with the
18 ICDUR on page five, Mr. Middleton. Mr. Middleton
19 discusses the policy, discusses how the Boyle
20 University will implement the policy, and
21 discusses the role of the institutional review
22 entity. Mr. Middleton also provides Dr. Jameson

1 with a little information on who staffed the IRE,
2 and so I believe we have a neurologist, we have
3 Dr. Greenore, a bacteriologist; we have another
4 microbiologist; and I believe we have the BSO.

5 So let's read a little bit about that
6 conversation. How would you constitute your
7 institutional review entity? What subject matter
8 expertise do you believe is best served by being
9 staffed on the institutional review entity?

10 PUBLIC SPEAKER: So first of all, you'd
11 need subject matter experts, right, so faculty
12 members who could do the risk assessment
13 appropriately. We include someone from research
14 administration, so the director of research
15 administration is on our board and we have an
16 attorney on our board. So that's what we've done.

17 MS. WRIGHT: So senior research
18 administration, scientists, faculty members,
19 counsel, and risk -- probably a risk management
20 team from the university/counsel. Any other
21 expertise?

22 PUBLIC SPEAKER: Folks from compliance

1 may actually want to incorporate the IRB's
2 ethicist.

3 MS. WRIGHT: Okay, great. Any other?

4 PUBLIC SPEAKER: We have folks from
5 scientific editing and communication in there
6 because at some point, this information will have
7 to be released in a publication. So we get these
8 people involved very early on to make sure that
9 the way this information is disseminated is done
10 in a manner that's appropriate.

11 MS. WRIGHT: That's great. So your
12 communications/public affairs. That's
13 outstanding. Any others? I can think of maybe one
14 more set of folks I'd like to see. Sherry (ph).

15 PUBLIC SPEAKER: (Inaudible.)

16 MS. WRIGHT: IACUC representative, so
17 you're Institutional Animal Care and Use
18 Committee. Any others?

19 PUBLIC SPEAKER: (Inaudible)

20 MS. WRIGHT: Department of Public
21 Safety, yeah, you're getting into your true safety
22 and security folks, police, law enforcement.

1 PUBLIC SPEAKER: (Inaudible.)

2 MS. WRIGHT: Right. So I want to raise
3 one thing during this discussion. So Dr. Jameson
4 has gone from one extreme saying, you know, I
5 don't think my research constitutes DURC so I
6 don't need to talk to the IRE. And then having
7 talked to Mr. Middleton, he says, oh, gosh, I am
8 using one of these agents, one of the 15 agents;
9 therefore, my research is DURC and I must now
10 submit a risk mitigation plan. Is Dr. Jameson's
11 understanding of the policy and they process
12 correct? Is his research DURC and must he submit
13 a risk mitigation plan with what we know? Dr.
14 Ellis says no.

15 PUBLIC SPEAKER: (Inaudible.)

16 MS. WRIGHT: Not until we check out
17 whether the research aims to produce one of the
18 seven experimental effects listed in the policy.
19 Any other comments on what we've covered so far
20 for Dr. Jameson and his project?

21 (No response.)

22 MS. WRIGHT: All right. So let's go

1 ahead, move on to question -- to page number six.
2 Okay. So we're going to get into this. I jumped
3 the gun a little bit but we're going to talk about
4 the seven experimental effects. So Mr. Middleton
5 says, "No, Dr. Jameson, your understanding is not
6 correct. Yes, you are working with one of the 15
7 listed agents but there are a couple of steps that
8 we have to evaluate within the IRE before the
9 determination is made that the experiment is DURC
10 and that a risk mitigation plan is required."

11 So reading page six, the IRE, we're
12 told, conducts the review of Dr. Jameson's
13 proposed research with a T3SS disruption and they
14 determine -- they make two determinations.
15 Clearly, the research is within the scope of the
16 policy. They determine, based on this case study,
17 that the research will not produce any of the
18 seven experimental effects listed in the policy.
19 So, therefore, the IRE concludes that the research
20 is not DURC. So Dr. Jameson's experiment has met
21 criterion one, it has not met criterion two.
22 Therefore, it does not rise to the level of DURC

1 as described in this policy.

2 Okay. All right. I think we've covered
3 that. Okay. However, the IRE does say one thing.
4 They say, Dr. Jameson, if your research aims
5 change at all in any way, let us know, right. So
6 we know that happens between our annual reviews,
7 our annual CRISPR project reports within, the NIHR
8 annual progress reports there is a lot that can
9 change, and the IRE needs to be kept apprised of
10 any particular changes to this protocol. And so
11 institutions are encouraged to think about what
12 that closed feedback loop would look like for them
13 as they adjudicate IRE decisions that do not
14 require a risk mitigation plan. So they still
15 want to have that relationship and ongoing
16 dialogue with the investigator.

17 All right. So moving on, we're going to
18 move on to page seven. So, okay, so Dr. Jameson's
19 doing great. He's got a new grant. He's doing
20 well. He's, you know, exhausted his work on type
21 three secretory systems and now he's actually
22 interested in what's happening surface proteins on

1 Francisella tularensis tularensis. So he's still
2 working with tularensis subspecies strain SHUS4
3 and this time he's interested in modifying a
4 surface antigen and modifying the antigenicity of
5 tularensis by modifying this particular surface
6 protein. So he hypothesizes that this
7 modifications will enhance the ability to
8 tularensis to survive and replicate in cells.

9 So I want to give folks just a minute to
10 just read this particular experimental design on
11 page seven. I'm sorry, Sarah, missing? Got it.
12 Okay, great. Thank you. Okay. So on page seven,
13 he hypothesized that this modification is going to
14 enhance the ability of Francisella tularensis to
15 survive and replicate in infected cells. What is
16 the clinical significance of this experiment? Why
17 might this get a little bit of attention? So if
18 you're modifying the antigenicity of tularensis by
19 changing a surface protein, what might you think
20 about from a clinical disease infection control
21 perspective?

22 PUBLIC SPEAKER: (Inaudible.)

1 MS. WRIGHT: Okay. So are you changing
2 the effectiveness of your medical countermeasures
3 or vaccines? Are you changing the tropism of this
4 bacterial pathogen? Any other clinical
5 considerations?

6 PUBLIC SPEAKER: (Inaudible.)

7 MS. WRIGHT: Sorry, go ahead.

8 PUBLIC SPEAKER: (Inaudible.)

9 MS. WRIGHT: Okay. So because you are
10 enhancing replication, you're then thereby
11 increasing the infective dose inside the host.
12 Okay. And Dr. Burns.

13 PUBLIC SPEAKER: The question of evading
14 the host immunity, that's the key issue.

15 MS. WRIGHT: And that -- right. And so
16 that's the key answer for this particular case
17 study. The other answers are valid and should be
18 considered but for this case study, yes. So this,
19 Dr. Jameson is now proposing to develop a strain
20 of tularensis that might evade host immunity.
21 Okay. So that might get someone's attention.

22 Okay. So since Dr. Jameson plans a

1 modification of his experimental aim with the
2 existing research plan, when is the most
3 appropriate time for him to consult the
4 institutional review entity? Do you think this
5 rises to a level of a phone call to say, hey, you
6 know, I want to do something a little bit
7 different here?

8 PUBLIC SPEAKER: Yes, it does.

9 MS. WRIGHT: Yeah, absolutely; yes,
10 resounding absolutely. And when should he do
11 this? Should did he say, hey, you know, I started
12 this last week, last month, six months ago?

13 PUBLIC SPEAKER: (Inaudible.)

14 MS. WRIGHT: No. He should get IRE
15 review and approval before the work commences and
16 so for those who are familiar with IBC processes,
17 you know, the processes and checks might sound a
18 little familiarbut we want the IRE to have input
19 and to provide direction to the investigator
20 before the work actually goes forward. Any
21 comments or questions on that?

22 (No response.)

1 MS. WRIGHT: Okay. So moving on to page
2 eight, we learn that the IRE decides correctly to
3 review this particular proposal to modify the
4 surface protein. And they want to review this in
5 the context of the policy which says well, once
6 you know that a listed agent is being manipulated,
7 that it's subject to the policy, now we want o ask
8 the second criterion. Does the research aim to
9 produce any one or more of the experimental
10 effects? So let's look carefully at the
11 experimental details on pages seven and eight.
12 Which, if any, of the seven listed experimental
13 effects does the research aim to produce? And
14 Susan has posted this earlier today. So we have a
15 hint. Dr. Burns said, "Well, the big enchilada is
16 that he's wanting to have this evade host
17 immunity." Let's think about this. Any others?
18 Rick Number one, enhance the harmful consequences
19 of the agent or toxin. Any others?
20 Number two, disrupt the immunity or the
21 effectiveness of an immunization against the agent
22 or toxin without clinical and/or agricultural

1 justification, good. Anything else?

2 Someone said number six, enhances the
3 susceptibility of a host population to the agent
4 or toxin. Number three, confers to the agent or
5 toxin resistance to clinically and/or
6 agriculturally useful prophylactic or therapeutic
7 interventions. Any others?

8 Number four, increases the stability,
9 transmissibility, or ability to disseminate the
10 agent or toxin. Any others?

11 Number five -- I feel like Bob Barker --
12 alters the host range or tropism of the agent or
13 toxin. So as Susan said earlier today this is a
14 subjective -- I mean this is an educated subjected
15 evaluation and this is why you want a properly
16 constituted IRE, right, with the appropriate
17 subject matter expertise. And these are -- and as
18 Susan mentioned, these are some of the discussions
19 that we're having internally to the U.S.
20 Government and that we hope to continue having
21 with the community which will be applying this
22 policy.

1 For the purposes of this case study,
2 this IRE identified two experimental effects. So
3 having identified these two experimental effects,
4 what are the next steps for the IRE and for Dr.
5 Jameson?

6 PUBLIC SPEAKER: (Inaudible)

7 MS. WRIGHT: Okay. So I heard develop a
8 risk mitigation plan. Notify the funding source.
9 Okay. Answer the question three, does it meet the
10 definition of DURC. Okay. So develop a risk
11 mitigation plan, notify the funding agency,
12 determine whether the experiment meets DURC. That
13 actually -- all three are correct. They happen in
14 one specific order. What comes first?

15 PUBLIC SPEAKER: (Inaudible.)

16 MS. WRIGHT: I'm sorry?

17 PUBLIC SPEAKER: (Inaudible.)

18 MS. WRIGHT: No.

19 PUBLIC SPEAKER: (Inaudible.)

20 MS. WRIGHT: Notify the funding agency.
21 Notify the funding agency. Notify the funding
22 agency that there is a agent that's listed on list

1 of 15 in the policy, that the research aims to
2 produce one or of the seven experiments of concern
3 -- excuse me -- experimental effects, and then
4 notify the funding agency of the outcome. And I
5 apologize. I've mixed that up.

6 You do want to determine whether the
7 experiment rises to the level of DURC, so I'm
8 sorry. So DURC comes -- determination of DURC
9 comes first. However, the point that I was trying
10 to make is that the funding agency needs to know
11 the outcome of the IRE deliberation, whether the
12 IRE determines that it does meet DURC or that it
13 doesn't meet DURC. Okay.

14 All right. So moving on to slide 14.
15 We then have here the definition of DURC. I'll
16 read that. Life sciences research that based on
17 current understanding can reasonably be
18 anticipated to provide knowledge, information,
19 products, or technologies that could be, for
20 emphasis, directly misapplied to pose a
21 significant threat with broad potential
22 consequences to public health and safety,

1 agricultural crops and other plants, animals, the
2 environment, material, or national security. So
3 this is the standard by which the IRE is going to
4 determine whether the proposed project rises to
5 the level of DURC.

6 And we have a comment in the back.

7 PUBLIC SPEAKER: I just have a question.
8 Your comment on the previous slide, are you
9 suggesting that they -- if the IRE determines it's
10 not DURC, they still need to notify the
11 institution? That was what I thought I heard.

12 MS. WRIGHT: That is correct. If the
13 IRE says yes, this research involves one of the
14 listed 15 agents; yes, this research aims to
15 produce one or more of the seven experimental
16 effects, at that point, the IRE is going to
17 evaluate whether the research rises to the level
18 of DURC. If the answer is "yes," the IRE, through
19 the ICDUR, notifies the funding agency. If the
20 answer is "no," the IRE, through the ICDUR,
21 notifies the funding agency. Any comments? Okay.

22 And so for this experiment then, we note

1 that the research does involve one of the 15
2 agents, the research does produce, for this IRE's
3 evaluation, two of the experimental effects, and
4 the research meets the policy's definition of
5 DURC. And the IRE determines that because the
6 antigenic modification of this surface protein is
7 going to enhance the ability of tularensis to
8 survive and replicate in cells. They assess and
9 determine that that poses a direct -- can be
10 directly applied to pose a significant threat and
11 broad potential consequence to public health.

12 Rick?

13 PUBLIC SPEAKER: Marci --

14 MS. WRIGHT: Rick.

15 PUBLIC SPEAKER: I just kind of just
16 want to ask the same question again because it
17 kind of surprised me. That was -- if the IRE
18 determines that it doesn't meet the definition of
19 DURC, they still have to notify the funding
20 agency?

21 MS. WRIGHT: That is correct.

22 PUBLIC SPEAKER: Thank you.

1 MS. WRIGHT: That is correct.

2 Regardless of the outcome, the IRE must notify the
3 funding agency. Comment?

4 PUBLIC SPEAKER: (Inaudible) previous
5 comment, the why. I mean, to me, you're going to
6 do that because the funding agency may assess it
7 and disagree. Did they have -- do they have to
8 agree with your determination of DURC?

9 MS. WRIGHT: So the question is why does
10 the IRE need to notify the funding agency of its
11 decisional outcome if the IRE determines that the
12 research does not rise to the level of DURC; is
13 that correct? That's your question?

14 PUBLIC SPEAKER: (Inaudible) wanted to
15 say (inaudible) question

16 MS. WRIGHT: Okay. So you're saying
17 that yes, the IRE needs to ensure that the funding
18 agency concurs with the IRE's decision. Any
19 comments from my federal partners? Yep, okay, go
20 ahead.

21 PUBLIC SPEAKER: (Inaudible) this
22 criteria, I'm going to pose the argument to number

1 (inaudible) necessity four, we decide if it meets
2 the criteria of
3 DURC.

4 MS. WRIGHT: So the risk and benefit
5 analysis is going -- the risk assessment is going
6 to come in advance of developing the risk
7 mitigation plan.

8 PUBLIC SPEAKER: (Inaudible.)

9 MS. WRIGHT: Did you have a comment,
10 Chris?

11 PUBLIC SPEAKER: No. (Inaudible.)

12 MS. WRIGHT: Okay.

13 PUBLIC SPEAKER: Well, I was going to
14 say -- all right, my comment was that I think --

15 MS. WRIGHT: And yes, you would do a
16 risk analysis of whether this research would
17 directly -- could be directly misapplied to pose a
18 significant threat to public health. So yes,
19 there is a risk assessment component to that.

20 PUBLIC SPEAKER: I was just going to say
21 an important element to the DURC policy is to open
22 up a line of communication between the institution

1 and the federal funders. So that's the reason why
2 it's important to contact the funding agency even
3 if you only meet these first two steps, the 15 and
4 the 7 because as we've talked about, the DURC
5 definition is a bit subjective so it's' really
6 nice to have a dialogue around that between your
7 funder and the institution.

8 MS. WRIGHT: So we want to engage the
9 funding agencies and have funding agency input on
10 this particular subjective evaluation. Okay. All
11 right.

12 Okay, moving on. I'm going to move on
13 to -- let's see, where am I -- slide -- okay, so
14 this is important. So now we just want to take a
15 step back because we've had two -- three
16 experiments evaluated. We want to ask what
17 notifications are required to be made and when.
18 Anyone want to take a stab at this?

19 PUBLIC SPEAKER: (Inaudible.)

20 MS. WRIGHT: So we've determined that
21 the experiment engages one of the seven
22 experimental effects, the IRE has determined that

1 for this case study, the experiment does meet the
2 definition of DURC in the policy. What needs to
3 be done next and when and how long?

4 PUBLIC SPEAKER: (Inaudible.)

5 MS. WRIGHT: Okay. Any other comments
6 on how long to develop the draft risk mitigation
7 plan? What about notifying the funding agency of
8 the outcome of the IRE deliberations; how long for
9 that?

10 PUBLIC SPEAKER: Thirty days.

11 MS. WRIGHT: Thirty days, okay. All
12 right. So again, here are our criteria. If the
13 research involves one of the 15 agents or toxins
14 listed in the policy and the research produces any
15 of the 7 experimental effects listed in the
16 policy, the institution, through the ICDUR, must
17 advise the funding agency of the outcome of the
18 IRE's decision within 30 days of the IRE decision.
19 And again, we've said whether the decision is that
20 the research rises to the level of DURC or not.

21 PUBLIC SPEAKER: Going back to the
22 bottom paragraph, you know, if the IRE determines

1 that it is not DURC, isn't the ball in the funding
2 agency's court? If I was the funding agency, I
3 would want to know why not. So essentially, the
4 responsibility is being shifted. To me, it seems
5 like it's mandatory if we are doing that that we
6 send, you know, why it is not DURC because that's
7 what's going to follow.

8 MS. WRIGHT: Any comments from my
9 federal partners?

10 PUBLIC SPEAKER: (Inaudible.)

11 MS. WRIGHT: Right. So you're asking
12 then is the funding agency ultimately the final
13 arbiter on this decision?

14 PUBLIC SPEAKER: (Inaudible.)

15 MS. WRIGHT: If the first two are yes.

16 PUBLIC SPEAKER: (Inaudible.)

17 MS. WRIGHT: That's correct, yeah; yep.
18 This was -- we have what we call a murder board
19 process in our discussions and this was my big
20 murder board question. Absolutely, thank you.

21 All right. How long after determining
22 that a project constitutes DURC must a draft risk

1 mitigation plan be submitted to the funding
2 agency? I believe we had an answer and what was
3 that?

4 PUBLIC SPEAKER: (Inaudible.)

5 MS. WRIGHT: The draft plan must be
6 submitted within 90 days of the IRE decision.
7 Okay. We're going to talk about how long does the
8 funding agency -- once this is submitted, how long
9 does the funding agency have to provide a response
10 and to provide a final approved risk mitigation
11 plan. We'll circle back on that at the end of the
12 session.

13 PUBLIC SPEAKER: So in all of these
14 examples, you're saying the funding agency but on
15 the page two, you say there are several agencies
16 that are funding them. So one thing you don't
17 know in here is which agency they're reporting all
18 of this to.

19 MS. WRIGHT: No, that's a great
20 question. So the question for folks on webcast is
21 if this particular project is supported by more
22 than one funding agency, which funding agency or

1 agencies would get the communication from the
2 ICDUR on the outcome of IRE proceedings.

3 PUBLIC SPEAKER: (Inaudible.)

4 MS. WRIGHT: So I'm not a grants person.

5 Is there a primary funding agency that would be
6 the point of contact for a specific project or
7 contract?

8 PUBLIC SPEAKER: (Inaudible.)

9 MS. WRIGHT: So the question is if a
10 particular project, if a specific aim or a grant
11 or a contract is -- has support from more than one
12 or a project has support from more than one
13 funding agency, which funding agency or agencies
14 would the ICDUR then contact and apprise of the
15 IRE deliberations.

16 PUBLIC SPEAKER: Yeah. I think there
17 would have to be a communication to each of the
18 federal funding agencies and then the funding
19 agencies would have to coordinate --

20 MS. WRIGHT: Right.

21 PUBLIC SPEAKER: -- so that it wasn't a
22 duplicative effort, so that it was a streamlined

1 approach.

2 MS. WRIGHT: So we'd work within the
3 government to ensure that we are coordinated if
4 that scenario happened. I'm not quite sure that
5 that does happen on a particular notice of award.
6 I think notices of awards come from one specific
7 entity.

8 PUBLIC SPEAKER: Yeah. (Inaudible)
9 example (inaudible).

10 MS. WRIGHT: Okay. So the ICDUR would
11 talk to the funding agency that funds this
12 particular project in that context.

13 PUBLIC SPEAKER: Project doesn't mean
14 funded by an agency (inaudible.)

15 MS. WRIGHT: Okay. So what is our
16 reach- through?

17 PUBLIC SPEAKER: They would notify NIH
18 within (inaudible).

19 MS. WRIGHT: So the answer is that the
20 ICDUR would notify NIH who would then triage the
21 evaluation to the appropriate funding source.

22 Okay.

1 MR. DIXON: Marci, Dennis Dixon from
2 NIH, and so what I would say -- ad that's a good
3 question. I mean that's why we're having this to
4 come up with interpretations and questions that we
5 hadn't thought through from the beginning. If
6 there are federal funding entities, at the NIH, we
7 don't duplicate funding of any other funding
8 entity so I would think that the overlap question
9 would have to be pursued and rarely would you
10 expect the exact experiment to receive funding
11 from any more than one place. It might require
12 multiple notifications to arrive at that decision
13 though.

14 MS. WRIGHT: Yeah, I agree. Thank you,
15 Dennis. Any other comments or questions? Sherry?

16 PUBLIC SPEAKER: (Inaudible).

17 MS. WRIGHT: That is my understanding,
18 Ryan, the --

19 PUBLIC SPEAKER: The non-federal
20 (inaudible).

21 MS. WRIGHT: Yes, for the non-federally-
22 funded research.

1 PUBLIC SPEAKER: Okay. And then follow-
2 up on that, I understand that there is a back and
3 forth time if it's been found to be DURC and you
4 have to waive for a reply from the funding agency.
5 If it is not DURC, it's been reviewed (inaudible)
6 funding agency (inaudible) do we need to wait
7 (inaudible) if they're like (inaudible) time
8 (inaudible).

9 MS. WRIGHT: So do you need to -- does
10 the research need to be put on hold until the
11 funding agency affirms the decisional outcome of
12 the IRE?

13 PUBLIC SPEAKER: (Inaudible.)

14 MS. WRIGHT: Okay. Absolutely. And so
15 the funding -- so I'll go ahead and answer this
16 question now. The funding agency has 30 days --
17 after the IRE provides its response, the funding
18 agency has 30 days to respond to that IRE
19 decision. And if the IRE is submitting a risk
20 mitigation plan, the funding agency has 60 days to
21 work with the IRE to finalize and approve that
22 risk mitigation pln. But the question is if the

1 IRE says it's not DURC, then does the institution
2 have to wait for the funding agency to affirm
3 that? And I'll pitch that to our colleagues. What
4 do we think? Dr. Burns?

5 PUBLIC SPEAKER: I had a different
6 question.

7 MS. WRIGHT: So this is something
8 clearly for us to discuss. Thank you, Sherry.
9 That's water heaters we're here. Any comment on -
10 - to follow-on to Sherry's point before I move on
11 to Dr. Burns?

12 PUBLIC SPEAKER: (Inaudible.)

13 MS. WRIGHT: Where does the institution
14 get funds to stand up the IRE, conduct the
15 evaluation --

16 PUBLIC SPEAKER: -- you have a contract,
17 you have a (inaudible) and you have this process
18 that you're not sure is going to happen or now,
19 how (inaudible) funds back --

20 MS. WRIGHT: Okay. So I want to make
21 sure I understand your question. You're asking
22 where does the institution get funding to support

1 the IRE processes and the development of a risk
2 assessment mitigation plan; is that correct?

3 PUBLIC SPEAKER: Yes.

4 MS. WRIGHT: Any comments?

5 (No response.)

6 MS. WRIGHT: So this is an activity that
7 the institution undertakes as part of its efforts
8 to have a research enterprise with U.S.
9 Government-funded work.

10 PUBLIC SPEAKER: (Inaudible) so your
11 answer is yes for one of the 15 and yes for one of
12 the 7 essentially (inaudible).

13 MS. WRIGHT: So are we -- is the U.S.
14 Government requiring the institution to wait on a
15 funding agency concurrence, non-concurrence or
16 something before they can proceed with the
17 research that they've determined not to be DURC?
18 That's a good question. Any comments? I see
19 Susan writing.

20 PUBLIC SPEAKER: (Inaudible). Is there
21 a (inaudible) player (inaudible) financial
22 updating for mitigation (inaudible) in order for

1 the ***1:33:27. It sounds like (inaudible) until
2 we get a grant, the cycle is over, you run out of
3 money, you can't run the (inaudible) or if you've
4 already gotten far enough along (inaudible)
5 acquire some money (inaudible) so either a subsidy
6 of some federal -- if the federal government is
7 proposing this (inaudible), would there be some
8 obligation of (inaudible).

9 MS. WRIGHT: Okay. So just for the
10 benefit of our web audience, the question is, is
11 there a mandate that would provide funding support
12 for risk mitigation and follow-on activities to
13 support research that may no longer be funded or
14 supported by the institution, so what happens
15 then. And I think, Carrie, you had some comment.

16 MS. WOLINETZ: Well, on that, I mean I
17 think there might be an opportunity depending on
18 the funding agency's file (inaudible) extension
19 (inaudible) but I was also going to say that for
20 the earlier point of this timeframe, so the IRE
21 says it's not DURC, okay, to inform the funding
22 agency, what is the period of time that the

1 funding agency has to come back and say we agree
2 or we disagree with that, you know, either move
3 forward with the experiments or move forward with
4 the risk mitigation plan process. I would be
5 interested in hearing from our institutional
6 colleagues what do you see as a reasonable
7 timeframe for that? Is it 15 days; is it 30 days;
8 is it 60 days? I mean how long is it reasonable
9 to put an experiment on hold before you need to
10 get the, you know, "red light/green light" to move
11 forward?

12 PUBLIC SPEAKER: They've already been
13 waiting (inaudible.)

14 MS. WRIGHT: So the one -- institutional
15 representative have said, you know, my PIs are
16 already waiting and if it's not -- if the IRE has
17 determined that it doesn't rise to the standard of
18 DURC, we don't want to wait. Jerry. And I'm
19 sorry, I was looking for Dana and the -- thank
20 you. Sorry, Ken.

21 MR. EPSTEIN: Hi. Jeffrey Epstein. I'm
22 one of the federal partners here. I think this is

1 a very good question and I think you deserve an
2 answer. I'm going to hazard this on my own but
3 recognizing there are other people who can correct
4 me, I believe that the policy is silent on that
5 point. If there's nothing in the policy requiring
6 the (inaudible) to wait, I don't believe the
7 government can make you wait.

8 I think this is an issue that we're
9 going to have play that through and see how it
10 goes the first one or two times. I would hope
11 there's a conversation with the funding agency
12 anyway. So if the funding agency disagrees with
13 the judgment, my interpretation is that the
14 institution does not have to wait for an answer
15 and can proceed.

16 If the funding agency says, wait a
17 minute, there's a problem here, I would hope
18 there's a dialogue and maybe in that exceptional
19 case, we could talk to each other and maybe
20 recognize a different outcome. But I don't
21 believe -- if there's nothing specific requiring
22 an institution to wait on a policy, I don't think

1 the federal government can impose that as an
2 interpretation after the fact.

3 MS. WRIGHT: And we have -- Dennis, I
4 think you have a comment. Please, Dennis. And
5 sorry, Dr. Burns, I promise, we just want to maybe
6 close this particular question out.

7 MR. DIXON: Thank you. You have to get
8 here really early to get a seat at the back. I
9 would agree with Jerry that you all deserve an
10 answer to that question and I would say heretofore,
11 we have been approaching this from the government
12 side first with the policy published March 29,
13 2012 where we were the first to look and define
14 DURC. And we found out about it and notified the
15 institutions. Now we have the situation where
16 it's going to be more of a shared interaction, so
17 it's the first time we've had that you are now
18 expected September 24th to making the assessment
19 from the very beginning. And so if the project
20 winds up getting funded, we will have been looking
21 at it, too. So it's not like we're going to stop
22 looking at things. We're going to continue to

1 look at things but want to do it together and want
2 you to have the heads up as you're planning your
3 research, as you're working with your institutions
4 to do it on the front end rather than us,
5 surprise, we've just looked at your grant and we
6 think it might relate to the DURC policy. We're
7 doing this together and I think we'll get -- we'll
8 meet in the middle in a harmonious spot.

9 But I think you're right, Jerry, I don't
10 think it's explicit at this point. It's something
11 we can work through and make explicit if we think
12 that's necessary.

13 MS. WRIGHT: Thank you, Sherry. Thank
14 you for that. And Dr. Burns.

15 PUBLIC SPEAKER: So the question is if
16 it is considered to be DURC, is it going to be
17 able to be published?

18 MS. WRIGHT: So we can talk about that
19 and we have an entire session this afternoon
20 that's going to talk about --

21 PUBLIC SPEAKER: No. But I think the
22 question -- this is -- a fundamental technical

1 question to me is since the NIH is the major
2 funder and the NIH cannot support, by definition,
3 classified research, how do you -- and I
4 understand the journal issue is going to come up
5 but I think that this is a basic question that
6 needs to be thought about up front before it ever
7 gets to the journal and that's what the journal
8 editors will tell you anyhow when they get here.

9 MS. WRIGHT: Right.

10 PUBLIC SPEAKER: So the question is what
11 are you going to do about a DURC positive?

12 MS. WRIGHT: Right. And so, you know,
13 we talk about that. That's appropriate
14 communication mechanisms, the responsible
15 communication of the research. We talk about that
16 at length in the risk mitigation piece and we'll
17 be -- I think we have an entire session -- panel
18 discussion dedicated to that later this afternoon.
19 But yeah, so there are -- and as you know, Dr.
20 Burns, there are multiple ways to communicate
21 research findings, AIMS, long before we reach the
22 manuscript and publication stage and certainly

1 those of us who have, you know, lived through the
2
3 understand the importance of working this out long
4 before it hits the journal and becomes their
5 property. Thank you for that. Any other
6 comments?

7 All right. So it's 10:40. I think we
8 close shop at 11 for this case study. So I want
9 to go ahead and move on. So let's move on to risk
10 mitigation. All right. So risk mitigation is the
11 process of applying the institutional oversight
12 life sciences policy to the research proposal to
13 develop and implement a risk mitigation plan and
14 manage both the research resources as well as the
15 research information and yes, clearly, risk
16 assessment is an integral part and a public health
17 benefit risk analysis, which can be subjective, is
18 a part of that process.

19 So moving on to page 12 of the case
20 study, so having determined that Dr. Jameson's
21 experiment does meet DURC, he and the IRE work
22 together collaboratively to develop a risk

1 mitigation plan. The IRE takes into account
2 considerations for appropriate biosafety and
3 Biosecurity measures and Dr. Jameson approaches
4 the IRE with recommendations of his own for the
5 biosafety and Biosecurity measures that he would
6 like to implement in order to conduct this
7 research safely and responsibly. And so on page
8 12, if you can just take a moment to read those
9 couple of paragraphs to look at the biosafety and
10 Biosecurity measures that the IRE might consider
11 and that Dr. Jameson proposes. So again, we're
12 working with Francisella tularensis subspecies
13 tularensis. Our OBA and BMBL guidance suggest
14 that at a minimum, this is handled in biosafety
15 level three conditions. So what are some of the
16 considerations that the IRE might take into
17 account to address laboratory biosafety and
18 Biosecurity?

19 PUBLIC SPEAKER: Might consider some
20 sort respiratory infections program --

21 MS. WRIGHT: Okay.

22 PUBLIC SPEAKER: -- PAPRs or N95s or

1 something along those lines as to (inaudible) --

2 MS. WRIGHT: Absolutely.

3 PUBLIC SPEAKER: -- inaudible) be
4 infectious.

5 MS. WRIGHT: Absolutely. So the use of
6 PPE including respiratory protection or PAPRs and
7 -- which are PAPRS -- excuse me. Any other?

8 So that would be a control, a safety
9 control. Any other measures?

10 PUBLIC SPEAKER: I think it's assumed
11 here but the Biosecurity is not explicit. BL3
12 labs typically have a roster of people who can
13 code in, badge in, or something --

14 MS. WRIGHT: Right. You have controlled
15 access with --

16 PUBLIC SPEAKER: -- you have to deal
17 with --

18 MS. WRIGHT: -- the biometric reader.

19 PUBLIC SPEAKER: -- (inaudible) aspects
20 as well as the safety.

21 MS. WRIGHT: Absolutely, and this is
22 still a select agent, you know, that we're working

1 with so we're -- excuse me, I'm sorry -- we are
2 working in the context of what the select agent
3 program would require for a tier one select agent.
4 Any other considerations, biosafety and
5 Biosecurity measures.

6 PUBLIC SPEAKER: Yeah. This would, I
7 think, tie into a little of both. You could have
8 enhanced monitoring of the laboratory either with,
9 you know, security cams or you may want to
10 implement a no loan rule for, you know, a high --
11 potential high impact --

12 MS. WRIGHT: Okay. So enhanced --

13 PUBLIC SPEAKER: -- (inaudible).

14 MS. WRIGHT: -- enhanced cybersecurity
15 individual, monitoring measures above that that's
16 required for tier one agents, okay. Any other?

17 PUBLIC SPEAKER: (Inaudible.)

18 MS. WRIGHT: Very tight inventory
19 control with duplicate redundant systems or
20 double-checking.

21 PUBLIC SPEAKER: My comment here is I
22 don't know that IRE should necessarily be worried

1 about this because this is a select agent. You
2 will not find tularemia SHU4 in a non-registered
3 space. In order for us to registry the entity for
4 this work, all those things that are mentioned
5 will already be in place, restricted access, you
6 know, all measures will already be in place
7 because we will not have this organism elsewhere.

8 MS. WRIGHT: Right. So this is a tier
9 one. I would say that there might be an argument
10 depending on risk assessment and what Dr. Jameson
11 -- the procedures that Dr. Jameson is doing and
12 the personnel doing them that there might be an
13 argument for increasing the containment level from
14 BSL3 to BSL3 with specific enhancements. But yes,
15 this is a tier one select agent so much of that is
16 already going to be in place.

17 PUBLIC SPEAKER: So I have two comments.
18 One would be -- I think another important element
19 would be the occupational health program in the
20 house.

21 MS. WRIGHT: I'm sorry.

22 PUBLIC SPEAKER: Occupational health,

1 occupational staff, OR staff monitored, etcetera,
2 etcetera. And to the point just raised about
3 these things are already in place, absolutely,
4 it's a given, but I think that becomes important
5 when we communicate the findings because the
6 public doesn't understand necessarily what type of
7 measures we are putting in place to protect the
8 research if you will.

9 PUBLIC SPEAKER: (Inaudible.)

10 MS. WRIGHT: Absolutely, occupational
11 health is a requirement for the tier one agents
12 already, yes.

13 Okay. So Dr. Jameson just mentioned
14 that he could put in place specific engineering
15 and administrative enhancements. He talks about
16 ensuring that the work is done in a BL3 lab that
17 has dedicated air handling, HEPA filtration on the
18 supply and the exhaust, pass-through autoclaves
19 and dunk tanks, and then additional administrative
20 requirements for shower in-shower out, for
21 example. And again, I appreciate your comment
22 because it is very important that we are dealing

1 with a tier one select agent that, you know,
2 already has a baseline for expectations for
3 biosafety and Biosecurity. Any other comments?

4 Dr. Ellis.

5 DR. ELLIS: (Inaudible).

6 MS. WRIGHT: No shower in, okay. Shower
7 out, okay.

8 PUBLIC SPEAKER: (Inaudible.)

9 MS. WRIGHT: So ensuring that the
10 incident response plan that exists because it is a
11 select agent also has a piece to it that says this
12 particular strain might have an enhanced public
13 health consequence. Would anyone not put their in
14 their incident response plan, that it's
15 particularly a DURC strain? Okay.

16 All right. So let's go ahead and move
17 on. We've got about 10 minutes. Hopefully, we can
18 get through this. So the IRE also considers
19 medical countermeasures. Let's go ahead and look
20 at page 13 of your case study. So there are
21 multiple medical countermeasure considerations
22 that the IRE might wish to consider or should

1 consider, first asking the question, do medical
2 countermeasures exist for this bacterial pathogen?
3 Is the new strain susceptible to these medical
4 measures? And what is the degree of effectiveness
5 of these medical countermeasures against the
6 strain when compared to strains that have been
7 more fully characterized?

8 So Dr. Jameson provides some
9 information. So I'm at the top of page 13. He
10 provides some information that -- he indicates in
11 his comments to the IRE that no new antibiotic-
12 resistant traits are being introduced by modifying
13 the surface protein. He anticipates, anticipates
14 that the new strain will be susceptible to the
15 standard antibiotics; however, he does acknowledge
16 that it's not known if the antibiotics are as
17 effective against the new strain.

18 So here's a question. Should the IRE
19 conclude that existing medical countermeasures are
20 sufficient based on the information that Dr.
21 Jameson provided? If so, why? Are there other
22 considerations or do they have enough information?

1 And I'll go to the back.

2 PUBLIC SPEAKER: So for tullu, you know,
3 antibiotics aren't the only MCM available. There
4 is an investigational vaccine. So the question is
5 are the personnel vaccinated? If so, that's a
6 potential mitigating factor.

7 MS. WRIGHT: Right. And so that goes to
8 Joe Kanabrocki's point about having an updated
9 occupational health plan and monitoring. Yeah.

10 PUBLIC SPEAKER: (Inaudible.)

11 MS. WRIGHT: Trust --

12 PUBLIC SPEAKER: -- (inaudible).

13 MS. WRIGHT: That's an outstanding
14 comment. The comment was trust but verify, asking
15 the investigator to show a minimal inhibitory
16 concentration assay that verifies that the strain
17 is susceptible or as susceptible as earlier
18 strains to the frontline antibiotics. Thank you.
19 Outstanding. Okay.

20 All right. So, being cognizant of the
21 time, I want to go ahead and move on to risk
22 communication, Dr. Burns.

1 (Laughter.)

2 MS. WRIGHT: Okay. So, and this is
3 going to get interesting. All right. So on page
4 15, we learn that the IRE and that Dr. Jameson are
5 describing well, how can we develop a
6 communication strategy that consistently upholds
7 in the responsible communication of DURC research.
8 The IRE should consider communications that may
9 occur before publication. At what stages, Dr.
10 Burns, in the research continuum might
11 communication about research occur?

12 PUBLIC SPEAKER: I'm worried about this
13 whole setup because if it's really DURC and the
14 implications that are in DURC, then the question
15 comes of where you want that information to go and
16 that's a touchy subject. I mean we fought through
17 this for quite a long time and we didn't come up
18 with a useful answer at that point. And, you
19 know, in a sense, and it may not be classified at
20 the highest level, it may be just for so and so
21 individuals, but it's unclear to me how some
22 funding agencies, at least one that we know about,

1 are really going to be able to fund research
2 according to its current mandates under those
3 conditions.

4 So the real question I have is if it's
5 really DURC, does it have to go to a different
6 agency which is well-able to handle restriction of
7 publication? That's the way I think about it and,
8 you know, who you decide to tell before you do
9 formal publication, I think that gets kind of
10 iffy, especially if it's, you know, dealing with
11 select agents and you've got the people who are
12 administering that program to contend with. So
13 all I'm saying is I think there needs to be a very
14 clear policy about exactly this issue. I haven't
15 seen that yet. Maybe you're going to tell us but
16 I think it's a difficult question.

17 MS. WRIGHT: No, I'm not because we are
18 still having these discussions internally.

19 PUBLIC SPEAKER: That's what I'm worried
20 about.

21 MS. WRIGHT: No. Right, but I
22 understand. I understand but the basic non-

1 initiated answer is that communication can occur
2 in several fora before we actually reach a paper
3 so lab meetings, you know, calls with
4 collaborators, posters and presentation sessions
5 at societies or symposia, so that's the
6 uninitiated answer to that question. But yes, we
7 have to think where do we go with this at the very
8 beginning and at the funding level, absolutely.

9 PUBLIC SPEAKER: Have you considered a
10 new journal called the "Journal of Dual Use
11 Research" which is limited distribution and only
12 among people who do this type of research so that
13 we can communicate with each other and then
14 determine if this really, really should be
15 published, you know, in regular circles.

16 MS. WRIGHT: Any comments?

17 PUBLIC SPEAKER: In these days of
18 internet communication, what is to stop the key
19 elements from circulating? In these days of
20 internet communication, what is to stop people
21 from communicating the key elements on the
22 internet, not as a publication or others in --

1 MS. WRIGHT: Right. So just internet
2 media, yeah. So here's what Dr. Jameson proposes.
3 Ask the funding agency to review his manuscript to
4 provide guidance on responsible communication.
5 Any thoughts on that? That's number of two of my
6 murder board question. Okay.

7 Describe -- so when they -- when he does
8 communicate the research in whatever fora and in
9 every fora that he might communicate this
10 research, describe the biosafety and Biosecurity
11 measures that were used to conduct the research,
12 so say this is what's occurred in biosafety level
13 3, BSL3 enhanced settings with these particular
14 hierarchy of controls, communicate that. And I
15 know investigators are already doing that happily.

16 Emphasize the public health benefits of
17 the research including how medical countermeasure
18 development might be improved. And this, I would
19 say, would include a discussion on the risk
20 assessment process, the criteria for the risk
21 assessment and how the institution in
22 collaboration, as Dennis said, with the funding

1 agency and the PI came to finalize their risk
2 analysis and move forward with the research model
3 or modified research.

4 Communicate research results consistent
5 with best practices in the responsible conduct of
6 research so having an objective approach and
7 truthful approach to communicating the findings
8 and the significance of the findings to the public
9 health world. Any other comments? In the back,
10 got four minutes.

11 PUBLIC SPEAKER: (Inaudible.)

12 MS. WRIGHT: So the question is for some
13 agencies, are some agencies leaning toward a
14 policy that if the research is identified as DURC
15 that they will move to classify the research; is
16 that correct?

17 PUBLIC SPEAKER: So this is Chris
18 Viggiani from NIH. I help to manage the NSABB.
19 I can't speak for all of the agencies but I would
20 just channel the NSABB over the years. What
21 they've pretty consistently said is that just
22 because something meets the definition of DURC

1 doesn't mean it shouldn't be conducted and does
2 not mean it shouldn't be communicated. In fact,
3 they've said the vast majority of DURC should
4 openly conducted, openly communicated, and that it
5 can be managed with some of the risk mitigation
6 measures we're talking about today. It's only in
7 the really rare circumstances where redaction has
8 even come up in the discussion. And I think
9 moving forward, that's still the anticipation. As
10 far as classification goes, the challenge is
11 retroactively classifying and that's why it's
12 really important to have these discussions up
13 front at the time that research is being funded
14 and throughout the course of the research. So if
15 there is an unexpected finding, you're in dialogue
16 with your funding agency and you can make wise
17 decisions about which way to go to with the
18 communication.

19 MS. WRIGHT: That is the answer Dr.
20 Burns was looking for. Thank you, Chris.

21 DR. EPSTEIN: This is Jerry Epstein
22 again. I just want to remind people what Dennis

1 Dixon already said earlier. This is in the
2 context of another policy which is incumbent on
3 the funding agencies to think about this sort of
4 thing before things are funded. So if there are
5 projects that are going to -- likely to raise
6 these sorts of questions, the funding agency
7 should have those conversations before the money
8 ever goes out the door.

9 MS. WRIGHT: And thank you for that
10 segue. HHS actually does have a gain of function
11 funding framework for specific sets of gain of
12 function experiments related to pandemic potential
13 influence -- subset of influenza viruses so that
14 framework is on a [phe.gov.s3/dualuse](http://phe.gov/s3/dualuse). Go ahead,
15 got couple of minutes.

16 PUBLIC SPEAKER: I'm curious in talking
17 about implementing these policies. Are they
18 considering -- so when you apply for funding and
19 you're going to be doing animal research, you have
20 to have your protocol proof first; or if you're
21 going to do human research, you have to have your
22 IRB approved first. We're talking about having

1 this sort of DURC assessment and risk mitigation
2 already in place when it's submitted to the
3 funding agency?

4 MS. WRIGHT: Right. So another way I
5 might ask that is how do we ensure that all of our
6 compliance review committees and processes are
7 integrated to ensure that once a decision is made,
8 yea, move forward with the research, that everyone
9 who is a stakeholder is apprised and has similarly
10 approved. So we would want our IACUC, our IRB
11 relevant, our IBC, and the IRE to ensure that they
12 are tracking together and would recommend -- and
13 we've actually, in other policy recommendations,
14 development of set, we really want to see these
15 committees and processes cross-fertilized with the
16 appropriate subject matter experts and governance-
17 responsible authorities.

18 PUBLIC SPEAKER: Just a concern to raise
19 about the communication of these results,
20 especially the biosafety-biosecurity and public
21 health benefits. So as you noted, a lot of this is
22 going to be done in the context of the risk and

1 benefit assessments conducted by the IRE both to
2 establish whether this is DURC and then to
3 establish the risk mitigation plan. However,
4 historically, IRBs and IBCs have not been
5 transparent in the ways that they conduct their
6 behaviors and the decisions that they make. Is
7 this setting up a precedent to actually
8 communicate with findings what the types of
9 decisions are being made in order to get this from
10 -- input from kind of conception to publication,
11 or is the IRE going to remain similarly opaque as
12 its cousins, the IRB and the IBC?

13 MS. WRIGHT: So I'm going to refer to
14 OBA on that for sure. My understanding is that --
15 at least I'm a biosafety background -- IBC minutes
16 are readily available but I'll defer to OBA.

17 PUBLIC SPEAKER: Yeah. So in terms of -
18 - I can't really speak on behalf of IRBs because
19 our office oversees institutional biosafety
20 committees, but institutional biosafety committees
21 are predicated actually on the principle of
22 transparency and openness. I'm sure many people

1 here are familiar that IBC meeting minutes are
2 available upon request. The majority of IBC
3 meetings are open to the public. We encourage IBC
4 meetings to be open to the public when there's not
5 a private or proprietary interest being
6 represented and actually, members of the community
7 are actually members of the IBCs so it is a
8 requirement that two unaffiliated members of the -
9 - unaffiliated from the institution be members of
10 the IBC. So I'm not sure I would characterize
11 IBCs as not being transparent.

12 MS. WRIGHT: So I think a question might
13 be what IRE proceedings have minutes that would be
14 publicly available. I think that's a good point
15 to put in.

16 PUBLIC SPEAKER: There is a --

17 MS. WRIGHT: We support -- I should say
18 that we support transparency.

19 PUBLIC SPEAKER: And the processes that
20 an IRE follows are required to be made public.
21 That's part of the policy, that the actual
22 policies and procedures that an IRE follows are

1 publicly available upon request.

2 MS. WRIGHT: Thank you, Ryan. All
3 right. I just want to wrap up. We're a couple of
4 minutes overdue. If you'll please forgive me for
5 going over. So I just really want to quickly say
6 this because there are a couple of prescriptive
7 remarks in here. So in developing the risk
8 mitigation plan, as we know, training is an
9 integral part of that and refresher training is an
10 integral part of that. This afternoon, we're
11 going to talk about education and training at
12 length during the panel discussions so the
13 panelists can circle back on this.

14 But I just want to say that the
15 institution and the PI are required to provide
16 education and training on the institutional DURC
17 oversight policy for any individual conducting
18 research with one or more of the 15 listed agents.
19 This is irrespective of the type of research
20 that's being done. So if they're working with one
21 of those 15 agents or more, they need to have DURC
22 training and they need to have it annually.

1 Okay. All right. And so I did mention
2 this earlier that we do have a responsibility as
3 the federal government, as funding agencies to
4 engage positively in the dialogue and close
5 communication circles. So we must provide
6 responses to the IRE, risk mitigation plan within
7 30 calendar days and finalize that plan within 60
8 calendar days. And I know that we have a question
9 and further exploration on the question of what
10 happens if the IRE says this is not DURC.

11 Great. Any other comments before we
12 sign off for the case study? Thank you so much.
13 It's much appreciated. Thank you.

14 (Applause.)

15 MS. WOLINETZ: For those watching on the
16 webcast, we will be back at 11:15 to continue the
17 meeting.

18 (Whereupon, off the record at 10:32
19 a.m., and back on the record at 10:57
20 a.m.)

21 DR. EDWIN: We'll start the next session
22 in about a minute and it's time to return to the

1 seats, please. Okay. Welcome, all. This session
2 is going to focus on reviewing and identifying
3 DURC, you know, the processes that are in place.
4 We have three fine panelists. My name is Sam
5 Edwin. I am from USAMRIID and I am also the
6 responsible official for the select agent program
7 there. I have been involved playing a role in
8 DURC processes at our place since 2011 when the
9 first policy came about.

10 Without further ado, let me introduce
11 our first speaker. It's Dr. Trevor Ames and he's
12 the Dean of the College of Veterinary Medicine,
13 university of Minnesota.

14 DR. AMES: Thank you, Dr. Edwin and good
15 morning everyone and thank you to the meeting
16 organizers for inviting me to be part of this
17 discussion. I look forward to learning from my
18 fellow panelists as well as the members of the
19 audience during the discussion.

20 So I will try to address both what is
21 happening at an institutional level at the
22 University of Minnesota as well as at a collegiate

1 level and I think, certainly for DURC policies and
2 procedures, there is a need for obvious U.S.
3 Government oversight. There is need for
4 institutional oversight, and the collegiate units
5 also need to be actively involved to aid and
6 identification and compliance.

7 The potential threats from research that
8 falls under the purview of DURC cannot be
9 overstated. Certainly, human illness and deaths
10 are front and center in everyone's thinking but
11 catastrophic animal and plant disease and the
12 effects that that have on our food supplies must
13 also be considered.

14 Coming from Minnesota, it's easy to look
15 at the recent high path avian influenza outbreak
16 that devastated our poultry industry this spring.
17 This disease was caused by H5N2 and it affected
18 birds in the Midwest as well as the West Coast,
19 and it was the most severe foreign animal disease
20 incursion in the history of the United States. In
21 Minnesota alone, there were 108 farms that were
22 affected, lost over 9 million birds and cost to

1 date \$650 million dollars. The disease produced a
2 95 percent mortality with death loss occurring in
3 5 to 7 dates, created just extensive regulatory
4 issues. We had 108 control zones in the state
5 around the quarantined farms and as you can see
6 from this map, the control zones took up a large
7 part of the state that coincided with our poultry
8 producing areas. So this created just lots of
9 regulatory issues.

10 And from early research, it appears that
11 this disease was spread by aerosol following wind
12 events and this represents a new biosecurity
13 threat in our control programs.

14 So as this outbreak was progressing and
15 I was spending time at the State Capitol talking
16 to legislators and thinking about the request to
17 come to this meeting and talk about DURC, I was
18 also thinking what if this were the headline in
19 the news. And so in addition to other concerns
20 and outcomes that have already been mentioned, if
21 research surrounding DURC was not properly
22 managed, there wasn't sufficient oversight, I

1 think this would just have devastating affects at
2 land grant universities and possibly even on
3 federal funding. But just to be really, really,
4 really clear, this is a hypothetical headline.

5 (Laughter.)

6 So I just also want to highlight how important the
7 food system is and emphasize the need for DURC
8 policies and procedures to be aware of and able to
9 detect and manage potential research threats in
10 this area. It is one of 14 critical
11 infrastructure sectors identified by Department of
12 Homeland Security. So I understand the need --
13 that the initial list of agents, toxins, and types
14 of research that are being considered are
15 certainly most important for initial policy and
16 procedure development, but as we develop our
17 oversight policies, I think there must be the
18 ability to detect broader risks as they arise.

19 It's important for our institutional
20 oversight to identify and discuss the benefits and
21 risks of the research before it's conducted and
22 published. Institutional oversight must be able

1 to identify the DURC agents involving the 15
2 agents and toxins and the type of research that
3 presents a risk with these agents.

4 But I would point out that oversight
5 policies and procedures relying solely on the
6 proposal routing forms might miss research that's
7 funded through research faculty startup packages.
8 Oversight policies and procedures that are
9 triggered by the 15 agents and toxins might miss
10 other research studies like something -- a study
11 that dramatically increases the dissemination of
12 UG99 in wheat crops. And oversight based on
13 research safety officers that are tined only for a
14 particular college might miss atypical research
15 for that college like a college of engineering
16 faculty member that is working on engineered
17 organisms.

18 Numerous units at Central University of
19 Minnesota have a role to play in this including,
20 obviously as has been mentioned, the institutional
21 biosafety committee that reviews research with
22 animal and plant pathogens and toxins of the risk

1 two group and above, the Department of Environment
2 Health and Safety that conducts our annual
3 laboratory inspections, the BSL3 director and
4 staff, and obviously the Office of Sponsored
5 Projects Administration that has pre-award
6 oversight and ensures that proper compliance on
7 those submissions.

8 Also, I think oversight at the
9 collegiate level is equally important with
10 knowledgeable PIs and lab self-identifying
11 projects, well-informed department research
12 officers who conduct regular lab inspections and
13 would recognize research of concern, and the
14 department chairs and associate deans of research
15 also using their oversight role when they're
16 approving and forwarding projects.

17 And I think there are educational
18 opportunities that could be really important at
19 the institution and collegiate level. Certainly,
20 the University of Minnesota's "Responsible Conduct
21 for Research" program provides a great venue for
22 delivering educational materials for all parties

1 and as has been mentioned earlier, to be eligible
2 to be a principle investigator, there is a core
3 curriculum plus targeted curriculum so integrating
4 a DURC educational program would be essential.

5 So I think universities also have a
6 tremendous wealth of human resource available that
7 they can be tapped into when they're putting these
8 committees together or consulting on issues
9 presented to these committees with lots of
10 universities having past members of the National
11 Science Advisory Board for Biosecurity, the NIH
12 Recombinant DNA Advisory Committee and just
13 faculty members that are known to have expertise
14 in toxins, plant and animal pathogens that can all
15 provide useful guidance.

16 So thank you for this opportunity.

17 DR. EDWIN: So after (inaudible) we'll
18 take questions. So the next panelist that's going
19 to be doing a presentation is Dr. Robert Ellis,
20 and he's the Director of Biosafety, Colorado State
21 University.

22 DR. ELLIS: Thank you very much, Dr.

1 Edwin and Dr. Monarez, thank you for the
2 invitation. I really appreciate that and thanks
3 for the opportunity here today. We've already
4 learned a lot so I think it's going to be a very
5 good day here.

6 This is the list that you've already
7 seen. This is a list of the 15 agents that we must
8 consider. If we are working with one of those 15
9 agents, then it must be considered, as far as the
10 potential dual use research of concern. And at
11 Colorado State University, we're working with 8 of
12 those 15 agents, so we definitely have some
13 concerns. Whether they're dual use research of
14 concern or not, then that's what we need to
15 determine after that.

16 In 2009, we put a question on our
17 biosafety protocols that the principle
18 investigators submit to the IBC that asked if any
19 of the research that they were doing was dual use
20 research of concern. It was just a "yes" or "no"
21 question. So, in 2012, there were those two
22 papers on gain of function of high path avian

1 influenza. Again, 2012 -- or another thing in
2 2012 was when the U.S. Government oversight policy
3 was put forward and then in '14, the institutional
4 policy for dual use research of concern was
5 published, and that goes into effect in September
6 of this year.

7 So, we have added a lot more detail to
8 our project approval forms and the project
9 approval forms are what must be submitted and must
10 be approved before any of the research can
11 commence. We have close to 500 project approval
12 forms. We've got over 1,200 total project
13 approvals active at this current time, but the
14 projects themselves, the active part, there are
15 about 500 of those and about 127 principle
16 investigators of those agents that people work
17 with, about 150 different agent approvals are in
18 existence for those 127 principle investigators at
19 the either level of Biosafety Level 3 or with
20 select agents.

21 So in the current project approval
22 request form, we have put in all seven of those

1 criteria that are listed that must, one of those
2 at least, must be fulfilled if there is going to
3 be dual use research of concern in a particular
4 project and those are not just are you working
5 with one of these. Each one of those has a yes or
6 no answer and currently, they're either defaulting
7 to yes so somebody must consciously change those
8 to no or we're going to leave them open so that
9 they can make a yes or no choice. I favor having
10 a yes on there that people have to change
11 consciously to no.

12 Then also, right at the top of that
13 approval request form, very, very top in a yellow
14 highlighted box in big bold letters, "if you're
15 research involves use of recombinant and/or
16 synthetic nucleic acid molecules, please read the
17 following before you submit this form." And in
18 this form, it said, "And should the assembly of
19 novel molecules produce an unanticipated product
20 that increases virulence or toxicity or otherwise
21 confers a phenotypic change that would be
22 biologically hazardous, I will notify the

1 biosafety officer and IBC immediately." So we
2 have that statement right in there, right at the
3 top for people to look at, and it doesn't say is
4 it one of these agents that lists the 15 agents,
5 and it doesn't say does it fulfill all these
6 criteria. This is before you even get to any of
7 that. It says would this confer more hazards to
8 this particular research.

9 So at Colorado State University, our
10 institutional review entity is the current
11 existing standing IBC. We've got about 15 members
12 on that covering a whole spectrum of research
13 expertise. Then our vice president for research
14 is the institutional contact. And as I told you,
15 the investigators are notified thro ugh that
16 system.

17 But also, further to that, in my
18 opinion, we do have some potential dual use
19 research of concern not on this list outside of
20 those 15 agents and outside of those 7 criteria.
21 They may fulfill some of those 7 criteria but
22 definitely outside of those 15 agents. The mouse

1 pox research that was mentioned earlier was not
2 one of the agents that are on our list so what if
3 somebody did some research similar to that with an
4 agent that's not on the list? What about
5 publications such as the botulinum toxin in the
6 milk supply, some other toxin that's not on the
7 select agent list or in -- well, it could be on
8 the select agent list but the only toxin on the 15
9 list is Botox or botulinum neurotoxin. What about
10 other gain of function, expanding a host species
11 for something that would be of high agricultural
12 incident or importance that is not that list. And
13 I think from a principled standpoint and an
14 ethical standpoint, we need to also look at some
15 of those as they come up and not just be focused
16 entirely on the ones that we have.

17 Another concern of mine that came up
18 this morning is also the timeline here, and we've
19 already seen from a research standpoint that if
20 there is dual use research of concern even at the
21 local level, if the review entity says "no, it's
22 not," we still have to make that notification to

1 the funding agencies. But if it is a "yes," then
2 we've got 30 days or 60 days or 90 days or, you
3 know, you keep adding those up month by month by
4 month and that's a real impediment to research
5 that's already struggling to a certain extent to
6 get the work done that's really important.

7 So I'll leave it with that and turn it
8 over to the next speaker.

9 DR. EDWIN: Our last panelist for this
10 session is Dr. Philip Potter and he's from St.
11 Jude's Research Hospital.

12 DR. POTTER: So I guess I'm the fly in
13 the ointment here. I come from a children's
14 cancer hospital and you're probably thinking why
15 am I here. Well -- so the reason we do that is we
16 have a very large flu program at St. Jude, so we
17 have two large grants that provide funding to two
18 investigators who essentially run two independent
19 groups. One is NIAD- funded and the other is WHO
20 and essentially, what we do is we take flu samples
21 from around the world. We categorize them based
22 on their genotype. We figure out if they're high

1 or low pathogenecity based on the influenza type
2 and then we do biology on them, okay, and because
3 highly pathogenic influenza virus is one of those
4 regulated by DURC. It was obvious that we had to
5 set up a program to do that.

6 Now we do very little DURC research in
7 the sense that we're not -- I'm trying to
8 understand a lot about the biology of these
9 viruses. A lot of it is just categorization for
10 finding out what the sequences are, what might be
11 the key residues that are involved in
12 pathogenecity, but we do swap viral segments into
13 low path virus to understand some of the biology,
14 so that obviously falls under the category of
15 DURC.

16 So how do we identify that at St. Jude?
17 So we have a very similar system, it sounds like,
18 to those at Colorado State. We have an online
19 submission form and I don't intend you to read
20 this but this is the section that comes from the
21 NSABB that raises all the issues that come up.
22 And again, we have the seven questions that relate

1 to the seven characteristics that have been
2 discussed previously today. So answering "yes" to
3 any of those questions regardless of pathogen
4 triggers review by the biological safety officer
5 and myself. If they're working with a non- DURC
6 agent, you know, we go back to the investigatory,
7 figure out what they're going to do, figure out if
8 there really is any DURC component to it. If it
9 does, then it goes on to the full DURC committee
10 review but in general, that doesn't happen.

11 All of the stuff that we have review ed
12 so far has been through high path flue that have
13 come in. At the same time, all of the protocols
14 distributed to everybody on the IBC, anybody can
15 suggest I think this might be DURC. If that's the
16 case, it automatically goes to DURC review
17 regardless of what the question was. And we've
18 always reviewed all of the high path research at
19 St. Jude, even if these questions are answered
20 "no." It's always overseen by us because
21 scientists get so engrained in what they do, they
22 might not see something very obvious to somebody

1 else. So we always look at the possibility of
2 DURC.

3 So our subcommittee is, I think,
4 somewhat unusual but I think it's good because it
5 brings different expertise to the table that has
6 helped us quite significantly. There's myself, a
7 vague expert in this field. Then we have faculty
8 who are obviously experts in the science that is
9 going to be conducted. Because that's only flu at
10 St. Jude, that's quite easy for us to choose from.
11 We have probably 10 or so flu researchers who know
12 enough about the experiments that are being done
13 that can provide a valid opinion.

14 We have a biological safety officer,
15 director of EH NES (ph) who is also our select
16 agent program manager. We have somebody from IRB.
17 You may think that's odd but we are a children's
18 cancer hospital. Everything that we do has some
19 impact on children's health somewhere. We always
20 have patient concerns as one of our outcomes.

21 We have people scientific editing and as
22 I mentioned earlier, we do that because at some

1 point, this information is likely going to be
2 published. We want them in at the front end so
3 that they can understand what the science is, how
4 it needs to be communicated and the best way to do
5 that. If we get to a risk management procedure,
6 then we bring in communications and PR people to
7 manage that.

8 And we also have legal counsel. We have
9 that because there are indications where when you
10 collaborate with other institutions, you want to
11 make sure that those other institutions also
12 follow the DURC review that has been undergone at
13 our institution. So it's a legally binding
14 situation. It is negotiated between our legal
15 counsel and legal counsel of the collaborators.

16 The PI submits the protocol. We review
17 it in advance. it's usually a pretty lengthy
18 meeting, lots of science questions, quite a few
19 non-science questions about how this would benefit
20 -- what the risks and the benefits would be. So I
21 think we go through this in quite detail. Most of
22 what we ask, of course, is based upon the

1 algorithm, you know, the 15 and the 7. We then
2 vote and then based on that, we get minutes that
3 are submitted to IBC and then the IBC look at
4 those and based on that, we made a decision.

5 So there are problems. You know, we've
6 run into two big problems that I think need to be
7 borne out. The first is that one of the criteria
8 in that seven says "alters the host range or
9 tropism of the agent." So if you have a flu virus
10 and now it no longer infects a chicken or a mouse,
11 that automatically becomes under DURC purview
12 because you've reduced its tropism, you've altered
13 its tropism, so we are reviewing a lot of
14 protocols where people are making virus that are
15 less pathogenic, have different tropisms than the
16 parent virus. That's become a pain for us because
17 we know in advance that that's what we're going to
18 have to review and we have to explain to the
19 investigator that this would be categorized as
20 dual research, not of concern but it would be dual
21 research because we've altered the host range. If
22 that said increased, we would be fine but alters

1 changes the ballgame for us.

2 And then the second thing that's come up
3 is that H7N9, this is the nasty flu virus that's
4 circulating in China and it's killed about 50
5 percent of the people it's infected. It's not
6 categorized by DURC because it's not highly
7 pathogenic. It doesn't kill chickens so it's not
8 considered a DURC agent. We had an example where
9 somebody wanted to modify some H7N9 virus at St.
10 Jude based on some computational studies. They
11 assumed that because it was not one of the 15
12 agents, it wouldn't be DURC. We flagged it for
13 DURC and we reviewed and we said that it was DURC
14 in lower capital -- in lower case. And the reason
15 was because it didn't meet the criteria but we
16 were just as worried about making that virus than
17 anything else that anybody would make.

18 This was not a concern with the
19 individual doing the science. The biggest concern
20 was with our institution because now we'd created
21 this new category, lower case durc, and people
22 didn't know how to respond to that and we didn't

1 know either. We just knew that we didn't want
2 that information publicly disseminated because it
3 wouldn't take much to put two and two together to
4 do the same studies on a highly pathogenic virus.
5 So I think that's where I am, so thank you.

6 DR. EDWIN: All right. It's time to
7 open up for the questions. The questions can be
8 for any one of us or comments hat you may have.
9 For our viewers on the web, there is always the
10 durc@ostp.gov to send in their questions. With
11 that, I will just open it up for questions.

12 PUBLIC SPEAKER: (Inaudible.)

13 DR. POTTER: Fortunately, I think -- we
14 started our DURC committee in 2012 -- I think
15 we've only reviewed maybe six protocols in that
16 time. People in the flu field know what's going to
17 happen. The vast majority of their science is
18 being directed away from that, good or bad. The
19 other thing is that we don't do that much science
20 that specifically looks at those properties. The
21 vast majority of our science is surveillance and
22 so, really, we don't do that much DURC science.

1 But I could imagine in a big institution, if
2 you've got a lot of protocols to review, it could
3 be a very time-consuming process.

4 PUBLIC SPEAKER: (Inaudible).

5 DR. ELLIS: Since 2009, when we've had
6 that question on the protocol, and remember only
7 about 500 of that 1,200 would be open for dual use
8 research questions, we've had three people check
9 yes, possibly it could be dual use research. And
10 as we used our own criteria -- that was before the
11 criteria were available that we have now -- when
12 we used our own criteria, it would be a real,
13 real, real stretch to have made it that because it
14 wasn't enhancing, it was we were dealing more with
15 pathogenecity and someone would have to take that
16 and really twist it to turn it into dual use
17 research.

18 But now with this, I can see it getting
19 more complicated. I still think that most of the
20 time, it's going to be probably not dual use
21 research once we look at it, but like I said, with
22 eight of those agents in active research

1 protocols, then I'm sure we're going to have a lot
2 more of them to look at. And as far as bottlenecks
3 are concerned, we'll do our best to not have
4 bottlenecks. I'm not so concerned with the
5 bottleneck at our end as I am if we go to a
6 federal agency and say "here's what we have, we
7 may have some concern" and getting a response from
8 the federal agency back in a timely manner, to me,
9 is a bigger concern than at the local area or
10 local level.

11 PUBLIC SPEAKER: (Inaudible). Oh, I
12 have a question regarding clinical research
13 (inaudible) medical institutions where people are
14 using Botox but it's off-label; are you going to
15 be looking at that because it's FDA-approved so
16 under the select agent standard, it would
17 theoretically be exempt, however, by using it off-
18 label, so it's not approved for that particular
19 use, how are people handling that?

20 DR. EDWIN: I think the policy says that
21 if it is used for clinical purposes and if it is
22 exempt quantities, that it doesn't come under the

1 DURC. Am I --

2 PUBLIC SPEAKER: It's select the --

3 PUBLIC SPEAKER: -- (inaudible) any

4 quantity.

5 DR. EDWIN: Any quantity in research

6 setting but I thought there was an exemption.

7 PUBLIC SPEAKER: In a research setting?

8 DR. EDWIN: Yeah, in a research setting

9 --

10 PUBLIC SPEAKER: ***2:32:03 (Inaudible)

11 in a research project (inaudible) --

12 DR. EDWIN: Right.

13 PUBLIC SPEAKER: Well, if it's an IRB,

14 it's de facto research.

15 DR. EDWIN: So if it is classified as

16 research, yes, it will be -- it has to come under

17 DURC.

18 PUBLIC SPEAKER: (Inaudible.)

19 DR. ELLIS: At our veterinary college,

20 we do have some clinical research using botulinum

21 toxin and some of the innovative research there

22 wants to use that with a targeted mechanism of

1 tumor control or tumor destruction. And even
2 though it's well under the toxin limits as far as
3 the select agent program is concerned, there still
4 is a stewardship SA gram (ph) it is called or a
5 policy from the select agent program that even if
6 you have it under that, you must somehow make sure
7 that people aren't ordering limits below the
8 threshold from different sources and then building
9 it up to over the threshold.

10 DR. EDWIN: It's the due diligence
11 policy.

12 DR. ELLIS: Right, definitely the due
13 diligence fits in. Now, from another standpoint,
14 and this is evident for us also, we are approved
15 for producing botulinum toxin, storing botulinum
16 neurotoxin, and it's well above the levels that
17 are considered select agent so we've gotten select
18 agent approval for that but we also have to show
19 that other researchers are not getting transfers
20 of those select agents, intra-entity transfers,
21 not inter but intra, within from one of our PIs to
22 another PI at Colorado State, that they're not

1 getting enough to go above that threshold and that
2 we know where every microgram of that is at all
3 times.

4 Also, just as an exercise, a few years
5 ago, we had a canine clinician that wanted to use
6 Botox, like I said, for anti-tumor therapy and I
7 worked with a pharmacist to figure out units and
8 converted to micrograms or nanograms, and it would
9 take a truckload of Botox to get to the threshold
10 level. I can't tell you how big a truck but a
11 lot. And it really surprised me when we made that
12 conversion and we worked back and forth several
13 times to make sure we were accurate because the
14 amount in a Botox vial, it's just so little that
15 you just can't hardly have enough on stock to go
16 above that threshold.

17 DR. EDWIN: So one request we have
18 because it's a webcast, if you have a question or
19 a comment, if you can wait for the microphone or
20 go to the microphones, it would be appreciated.

21 Going back to these exempt quantities,
22 you know, of entities that have a select agent

1 program, we have really good visibility on the
2 select agent levels. So applying any quantity of
3 Botox to be vigilant for DURC, it really calls
4 for, you know, a reassessing and making sure that
5 that due diligence for every exempt quantity is
6 assured and to monitor those studies becomes
7 really important. Because we have such
8 registration and also people working with exempt
9 quantities, we look at every research protocol for
10 that reason.

11 DR. POTTER: I have a question for the
12 agencies. So how would a new DURC agent be
13 identified; who would make that decision; what
14 would the criteria be; and how long would it take
15 to implement?

16 DR. EDWIN: I think that's a good
17 question. As the policy develops, you know, we
18 fully expect -- I can give an example. Some of
19 the things that were talked about by Dr. Potter,
20 while we look at these 15 agents, we also actually
21 look at MERS and H7N9, and I know there are others
22 on the horizon. And the question is what about

1 another DURC agent. We fear that and that is one
2 of the reasons that the Department of Defense at
3 USAM -- I speak for USAMRIID and, you know, I'm
4 not endorsed by the Department of the Army or the
5 big DoD -- but we think it's prudent to look at
6 every protocol because a lot of times, like it was
7 mentioned, the PI is really focused on the very
8 specific question that they're trying to answer,
9 and it's better to have in addition to the
10 investigator, another scientific team that can
11 really look at all aspects. We have two
12 independent reviews. We -- because of the large
13 select agent program that we've had and the team
14 that we have, me, as a responsible official and
15 the team that I have, we've taken charge of
16 actually looking at all the -- reviewing every
17 research protocol right at the inception and also
18 for operational security at the end of that to
19 close that loop but it may not be a solution for
20 everybody but, you know, we fully anticipate some
21 of these agents may get added to that policy.

22 PUBLIC SPEAKER: Susan Collier-Monarez,

1 this morning, said that we don't want PIs to be
2 censoring themselves. As I listen to your vast
3 review process and with the policy to go into
4 effect in September, do you have any sense that
5 this is happening or fear that it will happen once
6 the policy is instituted?

7 DR. EDWIN: I can speak for myself. I
8 mean -- and because all of these agents are select
9 agents, I have a very good relationship with all
10 individual investigators. And, you know, one of
11 the things that also helps is when we're reviewing
12 for DURC, if we really don't have that
13 communication with the IBC, IACUC, and the
14 committee that is reviewing and the responsible
15 official, the time gap to be able to assist the
16 investigator is going to prolong. So many a time,
17 we have -- when we're evaluating a protocol, we're
18 able to call in not just one or two but three or
19 four SMEs for that particular agent and to get the
20 PI involved, and it's actually the things that we
21 have done thus far actually have benefitted the
22 investigator and cut the time really short, and

1 everybody participates in, you know, trying to
2 come up with -- do the risk mitigation as far as
3 coming up with the plan.

4 So I think that the primary goal should
5 be not to make this policy restrictive but at the
6 same time, we must follow the regulation and to be
7 able to assist as a group and reach out wherever
8 we need to reach out so that the PI feels included
9 and no negativity attached to -- you know, so,
10 oops, I've got a DURC protocol. And that's the
11 approach we've been trying to take.

12 DR. POTTER: I think that at St. Jude,
13 we do struggle with that problem because there is
14 a lot of press at the moment about flu being bad;
15 gain of function is coming down the pipeline.
16 There are viruses probably circulating in the
17 environment that are worse than what we work with
18 in the lab. And so the perception from the
19 public's point of view is that what we do must be
20 bad. That makes it difficult sometimes for
21 scientists to want to do the key experiments, I
22 think, because they're put under pressure from a

1 lot of people about whether they do -- whether
2 they really want to do that experiment.

3 So I think the PIs try not to do the
4 DURC experiment in the flu field because at the
5 moment, you know, this is -- this could be the
6 next pandemic. So everybody is concerned about
7 that, but when you balance the risk of the
8 information that we would get from that, it would
9 have to be beneficial. It's just at the moment, I
10 don't think the public perceives it in that
11 fashion.

12 MR. KOZLOVAC: Hi. Joe Kozlovac,
13 USDAARS. I'd be interested in hearing from the
14 universities, no necessarily so much from
15 USAMRIID, but from the universities related to
16 have you received more funding or resources,
17 because it seems like your institutional biosafety
18 committees have been taking on a lot of this kind
19 of role or a subcommittee; so what sort of
20 resources, training has your leadership provided
21 to you for this type of activity?

22 DR. AMES: This has all been handled

1 under the existing support for the IBC to date.

2 DR. ELLIS: We have very good support
3 from our Vice President for Research but I haven't
4 seen more dollars and I don't think we will. I
5 think it's more of a, you know, definite support
6 and that's very important to have that. But also,
7 the IBC is going to have more responsibilities,
8 meetings are going to be longer, may have to have
9 more interim meetings, not just a monthly meeting.
10 I think there's going to be a little bit more,
11 maybe quite a bit more -- I haven't seen yet --
12 I'm the RO and also biosafety director so there
13 may be more there may be more load there, and I
14 don't know where we're going to handle that or how
15 we're going to handle that, but I know we'll
16 handle it and we'll do what we can to not impede
17 the research.

18 DR. POTTER: While we're technically not
19 a university, we have employed two new folks in
20 the last four years, one who is now the BL3
21 manager since he manages all the protocols, the
22 activities that occur in the BL3, principally

1 through the IACUC. And we've also just employed a
2 new person on the IBC who essentially shuffles all
3 the paperwork for us because even though it's all
4 done electronically, we have to maintain records
5 of all this information and get it circulated to
6 everybody so we have employed two new people for
7 that.

8 DR. EDWIN: Comments from the audience
9 on the university question?

10 PUBLIC SPEAKER: Tricia Delarosa (ph),
11 NIH, and I'm was wondering from the university,
12 first of all, it seems like your IBC is performing
13 the function of the IRE, and so then I'm wondering
14 about the information that comes out of this, is
15 that freely available, freely disbursed on these
16 projects that might be considered DURC?

17 And also, as a second question, I'm
18 wondering about your threat assessment boards and
19 if the threat assessment boards are being also
20 trained on DURC in your universities.

21 DR. ELLIS: I'll start with the threat
22 assessment and, no, right now I don't think ours

1 are trained on that outside of the IBC and outside
2 of select agent program, but I think we can meld
3 that into some very good sessions with risk
4 assessment, with risk management at our university
5 right across the hall from me and get that all
6 implemented. I think it'll be a fairly smooth
7 process. It's communication more than anything
8 and get that done.

9 What was the first part of the question?

10 Just shout it out.

11 PUBLIC SPEAKER: (Inaudible.)

12 PUBLIC SPEAKER: Oh, yeah, right. I was
13 looking right at you when she was saying that
14 thinking kind of already answered that. Yeah, I
15 think there can be quite a bit of transparency on
16 that as we go forward but also, I don't think that
17 every little thing to mitigate and to withhold --
18 I don't know if withhold is the right -- you know,
19 it comes back to some of the questions over here
20 earlier on publications, how do we publish
21 scientific research to the extent that it's useful
22 and then yet still not publish it as a pattern on

1 how to do bad things with good research. So it is
2 going to definitely be a balancing effect. As far
3 as the minutes, we can -- I think we'll deal with
4 that as we go through them and I'm more in favor
5 of transparency than opacity but there has to be a
6 balance there, too.

7 DR. POTTER: That's one of the reasons
8 we made a DURC subcommittee, a subcommittee of the
9 IBC because in those minutes, we have the
10 presentation by the PI, we have all the nitty-
11 gritty science. We don't really want the public
12 and others who might be interested in it to know
13 exactly the science that's going on. They can
14 have the general overview of what's going on but
15 we don't want to know exactly what mutations are
16 being made, blah-blah-blah, because that might be,
17 you know, information that could be very useful.
18 So the minutes that are provided by our
19 subcommittee contain the important information but
20 they don't contain all of the details that are
21 required to do the experiments.

22 PUBLIC SPEAKER: (Inaudible) so

1 you're not really going to want to have
2 (inaudible) fully 100 percent as your IBC would
3 because (inaudible) said, there might be things in
4 there that might be (inaudible) might not want to
5 leave in, so I think something to consider is
6 (inaudible) that your IBC consider the processes
7 that are unique to the IRB. Of course, I'm doing
8 some overlap of the processes but really, you
9 could think of the processes that are going to be
10 unique to the IRE

11 PUBLIC SPEAKER: Hi. Patty Olinger from
12 Emory University. To answer your question on
13 that, what we have done is actually we have our
14 IBC, which is also our research health and safety
15 committee, where if you think about it, you know,
16 there are a lot of things in IBC or biosafety that
17 you don't have to necessarily review or you're not
18 required to review in your IBC. And we require
19 all of our research to actually be registered with
20 the HS office. So our dual use research would be
21 actually reviewed by a subcommittee of that, and
22 we ran into this, you know, several years ago when

1 we all had to start submitting, you know, our --
2 when USA Today was, you know, asking for different
3 things and everything, and our legal group
4 actually came back and said, How are you -- you
5 know, it gets to be very, very complicated in
6 submitting all that paperwork and do we need to
7 submit this or not.

8 So we ended up -- actually, we had the
9 same group of individuals, to answer your
10 question, and they review all IBC issues and then
11 they close the meeting and then they reopen it as
12 a biosafety committee or research safety because
13 we also look at chemicals of interest as well or
14 anything that has to do with research safety.
15 And, you know, we're going to have another
16 subcommittee underneath that to review any of
17 those issues.

18 DR. HAUK: Phil Hauk, Icahn School of
19 Medicine at Mount Sinai. We started in the
20 business of DURC review when we got a little
21 notification from the NIH saying, "Did you take a
22 look at this H5N1 research and by the way, it is

1 one of these 15. Did you look at these seven
2 outcomes?" So it would up being just a department
3 -- sorry, the chair of the biosafety committee and
4 myself looking at it, going through it, going back
5 to the researcher and then writing a letter back
6 to the NIH saying, "Yes, we looked at it. No,
7 it's not DURC in this particular instance."

8 And then it became a subcommittee of the
9 actual institutional biosafety committee with a
10 few more people, four folks, and how it has
11 evolved into a full separate we call it IDUCC,
12 kind of like you duck when it comes at you. No,
13 but it's Institutional Dual Use Concern Committee.
14 We are separate. It's five people from the IBC
15 doing another job. No, we're not getting any
16 additional pay for that but a lot of patting on
17 the head by the Dean of Research, go ahead and go
18 forward and do thus.

19 And what we do is we advise the
20 institutional biosafety committee. Our
21 deliberations are kept separate so, of course,
22 there's nothing to be publicly visible like

1 everything we post on our website for the IBC
2 proceedings. However, some of that is still going
3 to be there because we have to address each of the
4 particular research protocols. They're
5 identifiable by the GCO Number/NIH Number and also
6 by the researcher. And it's going to say, "Is it
7 DURC?" "Yes." "Has it been reviewed?" "Yes."
8 So it's going to be out there.

9 And just one side issue to go back to
10 something earlier, as far as looking at other
11 agents that are not on that list of 15, we had
12 this 10 years ago. One of our researchers was
13 working with vesicular stomatitis virus and what
14 they were doing was putting magic bullets in it to
15 go kill human cancer cells and you're going to go
16 stick this in human beings. So, like, uh-huh,
17 this is change of tropism for VSV and we sent back
18 and asked them, "You looked at the model, which
19 efficacy?" And we had to take a look at all their
20 phase one and phase two data in that before we
21 said go forth and use your agent.

22 So you did have that rubric originally

1 in the section three. If you went through the NIH
2 guidelines, what was on the section three, you
3 have some indication there. But I'm glad to see
4 that the policy spells out a little more about,
5 you know, change of tropism or enhancement.

6 DR. EDWIN: Thank you for that great
7 comment. We'll take one more question. Then
8 we're going to be 10 minutes over.

9 PUBLIC SPEAKER: Hi, everyone. Rebecca
10 Caruso from Harvard. I want to thank my
11 colleagues, Patty and Joe, for bringing up some
12 important points about universities because we
13 tend to face a lot of challenges regarding finance
14 and funding which sometimes in private industry
15 they don't have the same challenges. So I noticed
16 on the panelists, only one of you actually
17 mentioned you received two additional staff so I
18 thought that was kind of interesting, and other
19 people that have stood up today have also talked
20 about having the same funding levels for their --
21 whether it's the biosafety program or their IBC,
22 SO I'd be curious to hear in the afternoon session

1 more about funding and finance because it's come
2 up a few times in our conversation this morning.

3 Thank you.

4 DR. EDWIN: Thank you all for your
5 participation and thank you to the panelists, and
6 now I'll turn the time over to NIH.

7 (Applause.)

8 MR. BAYHA: So this is the lunch break
9 now. There is a cafeteria that is kind of --
10 snakes around right where you came into the
11 building. I think they have signs set up or there
12 is definitely signs in the hallway that lead you
13 to the cafeteria, or we could just have people
14 that know where it is kind of lead the group there
15 so that people don't get lost. That might be the
16 easiest way to do it because like any good
17 hospital, it's a maze in here. So I think I'll
18 just stand up at the top of the steps and Chris,
19 can you volunteer? Are you going to the
20 cafeteria? You know, we'll lead the groups to the
21 cafeteria just so you don't get completely turned
22 around. If you know how to get there, please feel

1 free but we'll stand at the top just in case
2 you're unfamiliar.

3 (Whereupon, off the record at 11:47
4 a.m., and back on the record at 1:04
5 p.m.)

6 MR. DIXON: Good afternoon, everybody.
7 I'm going to stand up at the beginning just to get
8 everybody's attention and let you know that yes,
9 in fact, we are starting again. My name is Dennis
10 Dixon and I'm from the NIH NIAD. My co-chair Joe
11 Kozlovac is going to introduce the rest of the
12 panel today, and we're each going to say just a
13 little bit about why we're the people here and
14 what connects us to this topic. And the topic is
15 "Institutional Approaches to Developing Risk
16 Management Plans."

17 So I've been with the NIH a bit over 20
18 years and in my branch, I won the prize of having
19 many of the bacterial select agent pathogens and
20 hence have a long history of federal interactions
21 before they were select agents.

22 Also, I'm going to say that I actually

1 enjoyed serving on the federal committees that
2 helped to frame the first two select agent rules
3 as well as serving on the ISATAC Committee which
4 is the Institutional Select Agent and Toxin
5 Technical Advisory Group chaired by CDC and APHIS.
6 And so with that background and also being
7 associated with NSABB since the first meeting,
8 I've had some experiences with these agents and
9 can live to tell about them and still smile.

10 So I hope you all feel the same. We're
11 all in this to preserve the integrity of the
12 scientific process and to continue exploring
13 research to the fullest in this important
14 pathogens.

15 So I will stop there and turn it over to
16 Joe who is in the federal sector just like me and
17 he is at the ARS and U.S. Department of
18 Agriculture and the Biosafety Officer there.

19 MR. KOZLOVAC: Thank you, Dennis. As
20 Dennis mentioned, I'm the Agency Biological Safety
21 Officer at the U.S. Department of Agriculture's
22 Agricultural Research Service which is the in-

1 house research arm of USDA. You can read my bio
2 so I'm not going to -- it was in everybody's
3 packet so I'm not going to waste any time with
4 that.

5 So I would like to introduce our panel.
6 We have a very august panel for this specific
7 session. Two are very old colleagues of mine, Dr.
8 Joe Kanabrocki who I'll introduce first because
9 he's up first, is no stranger to being here at
10 NIH. He is a voting member of the NSABB. He was
11 a former voting member of the NIH RAC. He also at
12 one point was serving on the NBBTP program and was
13 -- is the current NRCM Chair for ASM for the
14 certification exam. Joe is currently the
15 Associate Vice President for Research Safety and
16 Professor of Microbiology at the University of
17 Chicago. And in these capacities, he serves as
18 the Select Agent Responsible Official, University
19 Biosafety Officer, and Director of Biosafety
20 programs at the University.

21 The individual that's next up is Rebecca
22 Moritz. Rebecca is a biosafety and biosecurity

1 professional. She holds a bachelor of science in
2 bacteriology, a master of science in medical
3 microbiology. She currently serves in the
4 University of Wisconsin in Madison where she is
5 highly involved in select agents, dual use type
6 programs.

7 And then third up is Mr. Phil Hauk water
8 heaters o is at Mount Sinai. He is a biosafety
9 professional with over 30 years' experience. He's
10 also a medical microbiologist and has been
11 involved in many of the American Biological Safety
12 Association. You can also read his bio.

13 So with that and to save time on
14 speaking, I'll ask Dr. Kanabrocki to come up and
15 start this off.

16 DR. KANABROCKI: Well, good afternoon.
17 I first want to say thanks for the invitation, for
18 allowing me to participate here today. I'm
19 pleased to be here. This is a very important
20 discussion.

21 I'm here to talk about the risk
22 mitigation strategies we're using at the

1 University of Chicago and before I begin, I wanted
2 to just give you a little bit about the
3 organization of the University because in my view,
4 that impacts the governance structures we've
5 established and I think these governance
6 structures play a huge role in risk mitigation.

7 So first of all, we have two campuses.
8 We have the Hyde Park Campus where the bulk of the
9 research activity goes on and then we have the
10 Howard Taylor Ricketts Lab which is a regional
11 Biocontainment lab built through funding by NIAID.
12 The nice part about the Ricketts Lab -- well, a
13 lot of nice things about it -- it's a state-of-the
14 art facility but it's located on the campus of
15 Argon National Laboratories which is about 25
16 miles southwest of Chicago. Argonne is a closed
17 campus. It has security guards up at the entrance
18 and so heightened security at the Ricketts Lab is
19 a given. So in addition to the campus security,
20 we also have obviously security laid in at the
21 level of the facility as well as the level of
22 individual laboratories.

1 So when we talk about the select agent
2 program, we're talking about the Ricketts Lab.
3 Our entire select agent program is housed in the
4 Ricketts Lab and, in fact, there are other
5 pathogens that we work with in that lab that are
6 not select agents.

7 But in terms of governance, because of
8 the new laboratory, the University of Chicago
9 decided to establish two IBC's, one for the Hyde
10 Park Campus and the second for the Ricketts Lab.
11 And the advantage for this is that all the folks
12 who are in the select agent -- it used to be
13 called the select agent IBC, it's now called the
14 Ricketts Lab IBC -- they're very familiar with the
15 facility. They're very familiar with the standard
16 operating procedures of that facility, and they've
17 been through the facility and understand what we
18 do. We have things much more standardized in that
19 context and so we find that our review process is
20 much more -- it's much more expedited just because
21 of the familiarity with the SOPs and the facility
22 itself.

1 Now in terms of dual use review, we've
2 created a task force that has membership from of
3 the committee. And so we have membership from the
4 Hyde Park committee. In fact, the chair of the
5 Hyde Park committee sits on the task force as one
6 of the regular members. And then the chair of the
7 Ricketts Lab committee -- or the Ricketts Lab
8 Select Agent Committee is also a member of the
9 dual use task force.

10 As I mentioned earlier, we have the
11 Director of University Research Administration as
12 a member. We have representation from the
13 veterinary staff. We have an attorney and so our
14 dual use task force is really separate from either
15 IBC. We do -- because we have the chairs of both
16 IBCs on that task force, we do report out to the
17 IBCs, the funding -- the findings of the dual use
18 task force, but the deliberations of that task
19 force are not part of the IBC meeting minutes. So
20 in terms of structure, we've created a place where
21 we can communicate to the IBC and provide a degree
22 of transparency but at the same time, really get

1 into the nitty-gritty of it on the dual use
2 deliberations.

3 So we have -- you know, again, we have
4 finite number of PIs at the Ricketts Lab. We have
5 basically six pathogens with which we work, five
6 of which are select agents, two of which are tier
7 one select agents and, therefore, subject to the
8 review. Obviously, we also work with attenuated
9 strains.

10 So first of all, the task force
11 basically is involved in three functions. One is
12 the initial review of grants before they go out
13 the door. Second is continuing review and what
14 we've done is we've synced up that continuing
15 review with the annual progress report process
16 that funding agencies usually require. So when a
17 PI is writing a progress report, they're supposed
18 to report to the task force about progress on the
19 research.

20 And for the review, obviously, we first
21 begin by asking those seven famous questions, but
22 we also add an eighth question which really is

1 triggered by a yes answer to any of the first
2 seven. And that eighth question reads, "does this
3 potential outcome have an immediate threat to
4 public health and security." Now what I want to
5 say is in the realm of select agent, this is much
6 more -- you know, that's a really difficult
7 question but at the same time, we understand why
8 it's being asked.

9 And at the end, I'll come back and just
10 say that we've added those questions to our
11 standard IBC registration process which is an
12 electronic process. And so as you can imagine,
13 when PIs are going through and registering their
14 work and they talk about altered tropism, all the
15 people that are using VSVG pseudo lentivirus are
16 having to check "yes" there. But then when they
17 down to question eight, "does it really threaten
18 public health," the answer is "no" and so we're
19 good to go.

20 But we're actually finding that those
21 people that check "yes" to any of those first
22 seven, those are folks we really want to talk to

1 about DURC and educate them. So we're trying to
2 identify a subpopulation of our faculty who really
3 need to know about DURC.

4 Okay. So now once we have a project
5 that is DURC, how do we manage it? Obviously, in
6 terms of manuscript review, there is a risk-
7 benefit analysis and it's really could the
8 research yield information that could be
9 intentionally misused to threaten public health
10 and safety or other aspects of national security.
11 What is the nature of the threat that could be
12 posed from intentional misapplication of the
13 information? What are the potential consequences?
14 Could the research yield information that could be
15 potentially benefit the life sciences and/or
16 public health and safety or other aspects of
17 national security? And do the potential risks or
18 publishing the research findings and conducting
19 the proposed experiments outweigh the potential
20 benefits?

21 So at the end of the day, it's a risk-
22 benefit analysis and it's a very detailed analysis

1 and, you know, we really want to understand what
2 are the real risks but really what are the
3 benefits. And I think is really where I think
4 maybe we need to work harder at articulating the
5 benefits of the work we do. And I think -- you
6 know, another thing I would say is that this
7 process, it's a collaborative process. It
8 involves -- at the University of Chicago, up until
9 now, it was one that really began with the
10 granting agency talking to the PI, the PI talking
11 to the dual use task force and working in a
12 collaborative effort to do the risk mitigation
13 plan.

14 And now with the change in the way
15 things are going to be going, obviously, it starts
16 with the contact person for the dual use task
17 force. And so we'll be the point of contact
18 rather than the PI but up until now, we've been
19 working through the PIs. And so again, in terms
20 of grant review, it's a collaborative effort with
21 the granting agency. We do an annual review in
22 terms of progress reporting and then a manuscript

1 review is another thing that's done as a
2 collaborative effort with the granting agency.

3 So what are the risks, the biosafety
4 risks? We evaluate the potential for the trade of
5 concern to evolve naturally. We consider the use
6 of attenuated strains and use whenever and
7 wherever possible. Obviously, we talk about
8 appropriate containment and this is where knowing
9 what the standard operating procedures of the
10 Ricketts Lab are really comes in handy, fulltime
11 PAPRS in our ABSL3 facility, etcetera. And then we
12 talk about -- we look at the susceptibility to
13 antimicrobial therapy and then making sure that
14 the occupational health and medicine program and
15 surveillance programs are very robust. And in this
16 realm, we've developed agent profiles for all of
17 our pathogens that will instruct a clinician on
18 how a person exposed to that pathogen should be
19 treated.

20 In the realm of biosecurity, obviously,
21 there is physical security and as Phil mentioned
22 earlier, I think one of the important aspects is

1 the inventory management. And so we have a very -
2 - a really rigid process for management of our
3 inventories. In terms of personnel reliability,
4 we have a personnel reliability program that
5 relies very heavily o a familiarity with our
6 research staff and the use of what we have as a
7 code of conduct document that everyone signs every
8 year. And so that code of conduct is a commitment
9 on the part of our investigators to take the
10 training, to report any mishaps, to report
11 observed mishaps that others don't report. And we
12 found this to be very successful. People that sign
13 their name on a document tend to take that
14 document and what they're committing to much more
15 seriously.

16 So again, as I said, you know, I think
17 one of the things we really must work on is
18 articulating the benefits of the research to
19 society and public health. And again, thinking
20 about the risks, obviously there are biosafety
21 risks to the public health in the event of a
22 release but I would argue that part of our job is

1 to explain to the public what steps we're taking
2 to mitigate that risk and to argue that there is
3 also a risk to public health for not doing that
4 research. I think that needs to be more publicly
5 communicated.

6 Also, there is a potential risk to
7 national global security due to the publication of
8 DURC findings. And in my view, we must insist
9 that benefits outweigh the risk of work to be
10 communicated and if that's not the case, I think
11 we have to really think hard about whether that
12 work should be published.

13 And then lastly, there is a risk to the
14 loss in public confidence which I think we're
15 seeing today. I think there is a lot of negative
16 press around the work we're doing and I think it's
17 had a real negative impact on the industry, not
18 the least of which is that some investigators are
19 either getting out of the business or students are
20 not going into this area of research, and I think
21 that is a shame.

22 So I think we must communicate in a very

1 responsible way that we consider and design our
2 research with these risks in mind; that we
3 mitigate the biosafety risk via experimental
4 design and science-based biosafety programs; and
5 that we educate the next generation of scientists
6 to do this very important research. And I'll stop
7 there. Thank you.

8 MR. KOZLOVAC: Rebecca, you're next up.

9 DR. MORITZ: Well, hello everyone. My
10 name is Rebecca Moritz and I would like to thank
11 NIH and OSTP for the invitation to speak today.

12 So the University of Wisconsin really
13 views the review of potential dual use research
14 and the risk assessment of that research as one in
15 the same thing. It's very hard to do one or the
16 other without incorporating the other essentially.
17 So what is our process?

18 So our institutional review entity is
19 actually a subcommittee of our IBC, like other
20 institutions have talked about today. It is
21 comprised of myself, the institutional contact for
22 DURC, the IBC chair who is an associate professor

1 of virology, an associate professor of medicine
2 who is also an infectious disease physician as
3 well as conducts research himself, an associate
4 scientist who is a virologist, and then the
5 Director of the Communicable Disease Division of
6 the Wisconsin State Laboratory of Hygiene which
7 part of the CDC's laboratory response network.

8 So all the materials come into me,
9 grants, manuscripts, experiments, and then we put
10 them together and we all review them individually.
11 We do our reviews on our own and we determine
12 whether or not they meet the criteria of potential
13 dual use. And then we come together as a group.
14 And then we talk about our findings, and this
15 allows us to form our opinions on our own, not be
16 swayed by another individual. And then when we
17 come together, we really assess the risks and the
18 benefits of the research and whether or not we
19 truly think it's dual use.

20 And I'll be honest. There have been
21 multiple things that have come in that we have
22 said, "yes, it technically meets the definition of

1 dual use but in all practical purposes, it's the
2 cause of doing the science." Like for example,
3 with influenza, to understand why something is
4 pathogenic, you have to put in a low pathogenic
5 strain to understand why that specific mutation
6 has that effect. Technically, it meets the DURC
7 regulations but is it truly dual use research of
8 concern for example.

9 Now all of our findings are put together
10 into a report goes to the IBC for discussion and
11 review and then all of their comments and thoughts
12 are put back into the report and it actually goes
13 to a secondary committee, our biosecurity task
14 force. Our biosecurity task force has been in
15 effect at the University of Wisconsin for over a
16 decade. It is comprised of a very unique set of
17 individuals that under normal circumstances would
18 most likely not be meeting together. So it's the
19 responsible official and alternate responsible
20 officials, the associate dean for research,
21 biosafety officer, representatives from
22 communications, our director of environmental

1 health and safety, university health services,
2 information security and then we also have
3 representation from legal, and then our sergeant
4 and lieutenants which are in charge of the
5 infrastructure security division of our police
6 department as well as the deputy director o the
7 communicable disease division of the Wisconsin
8 State Laboratory of Hygiene who is also a select
9 agent PI as well as the direct of the Wisconsin
10 Veterinary Diagnostic Laboratory.

11 We're kind of a unique setup in the
12 world of diagnostic laboratories because both of
13 those laboratories, while they are divisions of
14 the State of Wisconsin, they actually have
15 agreements and are on the campus of the University
16 of Wisconsin so they use our IBCs, they use our
17 IACUCs, they use our IRBs, so that's a really
18 great resource that we have.

19 Now like I said, under normal
20 circumstances, these individuals would most likely
21 not be meeting together but the reason we have
22 this committee in place is really because

1 everybody in this group has a vested interest in
2 the safety, security and risk mitigation of
3 research with high consequence, pathogens at the
4 University of Wisconsin.

5 So what type of risk mitigation measures
6 do we think about when we develop a risk
7 mitigation plan? Well, for example, let's talk
8 facilities. Is there anything unique about your
9 facility? What type of redundancies do you have?
10 Is your building monitored by a building
11 automation system? Do you have -- what biosafety
12 level do you work at? Are you maybe working at a
13 biosafety level higher than what the regulations
14 say you necessarily need to be working at?

15 I really think most of your institutions
16 are already doing most of the things that would be
17 required to do for risk mitigation plans because
18 they're part of BMBL, they're part of the select
19 agent regulations, or they're part of the NIH
20 guidelines. But really, the development of a risk
21 mitigation plan puts it all into one specific
22 place.

1 Another thing we ask ourselves is who is
2 conducting these experiments. Is it graduate
3 students? Is it post docs? Or is it scientists
4 with 20 plus years of experience? What type of
5 experiments are they doing? Is there a way to use
6 attenuated strains or to try something different
7 first?

8 We have had instances where we have
9 asked for the results of an experiment before we
10 have allowed researchers to go on and do the next
11 step of experiments because we wanted to see what
12 that data was before we let them go further.

13 What about personal protective
14 equipment? Are you using respirators? What type,
15 N95s, N100s, or are you using PAPRS, tiebacks,
16 shower out? There are a lot of different
17 enhancements that you could use there.

18 Have your researchers received the
19 appropriate vaccine? Is there a vaccine available
20 for the bugs that they're working with and have
21 your researchers received it? Are the bugs your
22 researchers are working with sensitive to

1 available antibiotics or antivirals? Do you have
2 -- can your infectious disease physicians or your
3 public health get you those if you need them in
4 case of a potential exposure?

5 What are your quarantine policies? Do
6 you have a personal quarantine policy? Do you
7 have an avian policy? What is your exposure
8 control plan? How good is your relationship with
9 your public health authorities, the state, the
10 local? What about your physicians?

11 Also, security. I had to give a shout-
12 out to our security department there and some of
13 our canine officers that they work with. The real
14 tone in the middle, actually, I work with very
15 regularly all the time. But, you know, how often
16 do they do patrols of your facility? What is
17 their response time to various alarms? Have they
18 been involved with doing emergency drills or
19 responding to scenario incidents in regards to
20 these agents?

21 Also, what type of regular research
22 updates are you receiving from your researchers,

1 because all of this really does stem on
2 communication and the importance of communication?
3 Now the University of Wisconsin, like many of you
4 in this room, is a public research institution,
5 and we do not view research to be complete until
6 it is appropriately communicated to the public.
7 So that brings up a really interesting question
8 when you're dealing with potential dual use; you
9 know, especially every single manuscript is
10 different. So what type of questions do we ask
11 ourselves when we are doing the review risk
12 assessment of potential dual use research? And as
13 Joe said, what are the benefits and risks of
14 publication? That's absolutely important. Do the
15 benefits outweigh the risks of publishing the
16 material. Also, what is the value of research to
17 science, to the specific science field and then
18 also to public health. Will it be useful to
19 public health? Will the public actually see a
20 benefit from this research.

21 And then what about, you know, the
22 biosafety and biosecurity measures that we use?

1 All DURC manuscripts that come out of the
2 University of Wisconsin have a description of the
3 biosafety measures that were used to conduct that
4 research as well as what we can say publicly about
5 the biosecurity measures that we have put into
6 place.

7 And then what about media talking points
8 and press releases? Now this is where that
9 biosecurity task force I talked about is
10 incredibly important because we have this breadth
11 of individuals and experiences that we can bring
12 when we create talking points. And our
13 relationship with our communications department is
14 absolutely critical because they help us frame our
15 message. They help us put press releases
16 together. They help field requests that come in
17 for media because really, doing all of that work
18 tries to prevent the sensationalism of a lot of
19 what we do. And unfortunately, right now, there
20 has been a lot of, as Dr. Kanabrocki said, there's
21 a lot of press, there are a lot of things going on
22 in regards to this field. And the more responsible

1 we are when we communicate about it hopefully
2 we'll lessen the risks of that happening.

3 But I just want to end with saying, you
4 know, I think a risk mitigation plan really
5 describes everything an entity is doing to
6 mitigates risks but it puts it all into one place
7 instead of, you know, your IBC has this, your --
8 you know, your IACUCs have this, your select agent
9 people have this. It really puts it all into one
10 high-level plan for people to see what you are
11 doing to mitigate risks at your institution.

12 Thank you.

13 MR. KOZLOVAC: Thank you, Rebecca. Phil
14 Hauck, you're up.

15 MR. HAUCK: Okay, that's who I am. And
16 we've beat this to death but just in case you want
17 to know what the seven outcomes are that we're
18 looking for, there you go. And what I'm going to
19 actually do is walk you through a mitigation that
20 we did back in 2012. We took a look at the
21 research and that's our questionnaire that we
22 developed, some more of it. By the way, you can

1 always email me for the slides. I'll get them to
2 you.

3 So this is Dr. XYZ. You know where I
4 work so you can figure out who one of three
5 possible researchers it could be but this is
6 exactly what they were looking at, host-specific
7 functions of -- of course, H5N1, as an organism
8 that's near and dear to three of the panelists
9 here, okay, for obvious reasons. It started the
10 whole DURC thing going. And basically, you can
11 see he said, "Yes." So you know it's a "he."
12 And basically what we were looking at was this
13 particular polymerase that they wanted to work
14 with and the HLRA mutant. So we go to the next
15 and the bottom line is the bottom line. I don't
16 read slides. So basically you see what was of
17 interest to us and what they were looking at
18 specifically in this example.

19 You got to the next slide and again,
20 what are we looking at? What is the potential to
21 either increase or decrease? We see that the
22 researcher said that. We would be actually

1 decreasing rather than increasing virulence or
2 transmission because of the type of strain that
3 we're using. And again, everything that's "bold"
4 is what stuck out in my mind when I did the
5 original review of the document, and what they're
6 primarily interested in was the polymerase complex
7 and how that could activate innate immune systems.
8 So basically, you're working with the attenuated
9 version of the HALO virus and basically they
10 realize that they could get the same bang for
11 their buck out of the HALO as they could working
12 with the full pathogenic because what they were
13 interested in was the intact polymerase complex.

14 And again, the other variants were not
15 able to do this. What they were interested in is
16 the ability of the HPAI viruses to transmit
17 between species or the roles of HA in
18 transmission. And these are not being studied,
19 okay, in this particular case, but what they're
20 more interested in was just with the polymerase.
21 And as they noted it indeed was critical to do
22 this and we agreed with them when we did the

1 overview, we did the risk assessment.

2 And the key line here is that the
3 molecular mechanisms are still unclear so that's
4 the reason why we're doing this research. It's
5 not willy-nilly and I just want to know the
6 information for the sake of knowing. And
7 basically, they're trying to work out a system
8 where it could find novel drug targets, understand
9 the host virus interactions and understand how
10 jumping occurs between birds and mammals.

11 Their contention was there are no direct
12 DURCs associated with the research project. And
13 we said, "Yeah, on the basis of what you've
14 presented, we agree." Research will not change
15 the tropism beyond that which has already occurred
16 in nature; we kind of agreed with that. We like
17 that. That was very reassuring. And then again,
18 as you can see in the "bold," polymerase from the
19 A/Vietnam virus or similar H5N1/HPAI virus must be
20 used in the experiments. If you're going to try
21 and understand what's going on there, you have to
22 use those because the other strains are divergent

1 and they are not true with that H5N1 polymerase so
2 you have to go to something that actually has what
3 you're looking for.

4 So we didn't need to use intact wild
5 type HPAI in the research and basically, we looked
6 over and said, "Yeah, it looks okay for now." And
7 since the viruses are attenuated, dual use is not
8 a high risk. Now note, I like the way he said
9 that. It's not a high risk. There's always a
10 risk when you do the experiment. You may come in
11 one day and find all the mice dead in the bottom
12 of the cage. That did happen in one of their
13 experiments but we found out that it was an
14 artifact. They didn't clean up their injectable
15 agent, and we found out there was something else
16 in there that inflamed the mice and killed them
17 all. It had nothing to do with the virus. So,
18 you have to be careful when they report back to
19 you "oh, we killed everything." Okay, that's not
20 good. Tell me why this happened, okay. Because
21 you didn't clean up your stocks properly, you got
22 a pyogenic effect. Okay, that makes sense.

1 All right. What we also liked was that
2 they realized they work in a BSL3 lab. They're
3 all SEGIS (ph) cleared. They're all SRA approved
4 to go in there and we, you know, stand on them
5 constantly making sure that they're inventories
6 are correct at all times, that nothing is missing
7 or underreported or overreported, as the case may
8 be. Sometimes you find that stocks are there that
9 are no longer in the boxes but they're on the
10 sheet. That could be problematic but it also
11 many, many vials and not enough sections on the
12 inventory sheet complete could be also
13 problematic.

14 So we deemed the results could be
15 reported out, you know, based on getting reviewed
16 from NSABB. We sent our findings when we did the
17 review to the NIH who is our funding agency, but
18 we also sent along to the NSABB -- they kind of
19 wanted to know anyhow because it was H5N1; this is
20 back in 2012 and they agreed with us that there
21 was nothing problematic in publishing it so it
22 went published and life is good.

1 And the bottom line here is, as I said
2 before, we decided that we have a separate
3 committee now which we call IDUC. And I told that
4 joke before. I won't tell you again. But
5 basically, it's a separate committee. It's
6 advisory to the IBC and also reports to the BSL3
7 oversight committee, our findings, so there is a
8 little tangled web there, different internal
9 regulatory agencies are watching what's going on.
10 So researchers are not running amuck in the lab or
11 if they are, we'll know about it real quick.
12 Thank you.

13 (Applause.)

14 DR. DIXON: In the interest of time, I'd
15 like to give the audience the opportunity to have
16 access to the minds here who have considerable
17 experience with DURC. It's a nice opportunity for
18 any of you out there to ask questions or share
19 experiences or so forth, so if you do have a
20 question, please come to the microphone at either
21 side and have at it.

22 PUBLIC SPEAKER: Rebecca, you addressed

1 information technology security a little bit
2 because one of their -- or maybe more than one --
3 representative is on your biosecurity task force,
4 but I'd like to get a feel from those of you at
5 universities, because that's all three of you, how
6 you do intend to address that. We had one comment
7 or question about that earlier today and we've
8 talked a lot about physical security and those
9 kinds of things. We haven't said much about
10 information security.

11 MS. MORITZ: Sure. So being from the
12 University of Wisconsin, we have a little bit of
13 experience of having a manuscript that people want
14 to get their hands on but it cannot be seen by
15 the public. So back in 2011-2012, we started to
16 think about, from an information security
17 perspective, where we were vulnerable and we
18 actually brought in an information security
19 consultant to take a look at the way our select
20 agent program is set up.

21 We have seven select agent laboratories
22 and they are in various schools and colleges so

1 they were all served by different IT departments.
2 So what we decided to do was actually centralize
3 them and put built in controls that we can
4 guaranty that we're meeting the requirements of
5 the select agent program. But I can tell you this
6 is incredibly expensive. It's not cheap. And we
7 are in the process of giving researchers encrypted
8 laptops but this is, again, not cheap. And
9 especially under the current funding restraints in
10 the State of Wisconsin, it's making things very
11 difficult right now but it is absolutely something
12 we've thought about and considered.

13 DR. DIXON: Organizer colleagues, if we
14 have provision for web-based questions, I think we
15 are accepting those and if so, do we have any? We
16 are looking. We do have that provision. We are
17 so pleased that this is so clear to everyone.

18 PUBLIC SPEAKER: (Inaudible.)

19 DR. DIXON: Good. At least we know
20 people are listening or trying to listen.

21 PUBLIC SPEAKER: I think it's just like
22 that, I'd want people to go to the microphone.

1 DR. DIXON: Another question over here
2 on the side of the room.

3 PUBLIC SPEAKER: Hi. So a couple of you
4 alluded to the issue of sort of public trust and
5 how that kind of relates to transparency of this
6 process and that we maybe need to do a better job
7 of communicating the fact that this risk
8 assessment is done and it's very thorough. Do you
9 guys have any comments about what parts of this
10 process -- because obviously a lot of it has to be
11 confidential because of the same security issues
12 of publishing the results of the research -- is
13 there any kind of thought about, you know, what
14 parts of this process can be made publicly
15 available or if maybe some kind of, you know,
16 statute of limitations, maybe these processes five
17 years later can be sort of released or some part
18 of them so that people can kind of see, the
19 general public can see how this review process
20 works and what kinds of things it's able to
21 account for?

22 DR. KANABROCKI: So I think the punch

1 line in the risk-benefit analysis -- so you can
2 articulate the risks and you can articulate the
3 benefits without really getting into hard data,
4 and I think that's totally fair game. That should
5 be totally fair game and I think that's what the
6 public is interested in.

7 DR. DIXON: Question on the opposite
8 side of the room.

9 PUBLIC SPEAKER: Yes. Gary Sherman,
10 USDA, NIFA. I'm curious about the tie that you
11 all think is appropriate to produce a risk
12 mitigation plan and how that relates to the length
13 of time that you think is appropriate to do the
14 risk assessment that would have to proceed that
15 and relate that, if you can, to those who are
16 proposing to federal funding agencies that
17 timeline?

18 DR. KANABROCKI: So our process begins
19 with the PI and their assessment and obviously
20 they're motivated so they do that promptly. Then
21 it goes to the task force and we basically take --
22 I mean we try to get -- once we've received a

1 request, we try to get it down within two weeks
2 and then we can communicate to the granting agency
3 and they've been very good about, at least in
4 terms of publication, they've been very good about
5 responding in a five-day timeline. So I mean if
6 you're ready to publish, it doesn't really -- I'm
7 sure you guys had a different experience over here
8 but needless to say -- but it's been pretty smooth
9 for us. And then the upfront reviews, similarly;
10 you know, the PIs that complain one day is too
11 long of a wait, but I don't know that a month even
12 is that bad. Rebecca?

13 MS. MORITZ: I would agree with Joe. It
14 really depends upon the PI notifying you and once
15 you're notified, depending upon where that kind of
16 falls in our cycle because our DURC subcommittee
17 meets a specific time each month; our IBC meets
18 two weeks after that; our biosecurity task force
19 meets a week after that, so if they meet that
20 initial deadline so to speak or they can't -- they
21 don't get something to us before that subcommittee
22 meeting, then they're going to have to wait unless

1 there is some sort of contingency on the
2 information for a granting agency and we have a
3 heard deadline that we need to meet. Then we can
4 move things around a little bit.

5 MR. HAUCK: I'm fortunate the number of
6 people that I deal with that are actually working
7 with agents that could be DURC related that they
8 already have the idea in mind that once they get
9 the idea to do the research with that particular
10 agent, that they're immediately reaching out to
11 myself and also the chair of the IDUC committee,
12 also chair of the IBC, because they know they're
13 going to have to get the approvals up front. So
14 before it even goes into, you know, the formal
15 grant process, we're already looking at it so
16 we're ready. Hopefully, we have information that
17 it can go almost instantaneously once I get
18 notification about acceptance of the grant.

19 DR. DIXON: I see we have one more
20 question in the room but before going to Chris
21 Viggiani, I just want to ask each of you how do
22 you identify the denominator for determining

1 whether or not you have DURC involved? Are you
2 solely dependent upon the PI to self-identify?

3 MS. MORITZ: Our IBC has also identified
4 things that have shown up in IBC protocols and
5 have actually sent things back to the DURC
6 subcommittee or, for example, they've even sent
7 experiments -- we've had a handful of experiments
8 they have said that are actually not one of the 15
9 agents, that the IBC identifies.

10 DR. KANABROCKI: Yeah. I mean for the
11 current policy it's limited to the tier one select
12 agents plus the two viruses and so we -- it's a
13 captive audience. We know who our PIs are.

14 MR. HAUCK: I'm kind of the
15 biosafety/biosecurity cop on watch which means I'm
16 on the IACUC Committee, I'm on the IBC Committee,
17 and I am an ex officio member of the IRB, and I
18 sit on the Escrow Committee so very little escapes
19 my watchful eyes. That's not to say it doesn't
20 but the thing is that we're out there looking and
21 I'm constantly looking for somebody working with 1
22 to 15 or something that could be -- you know,

1 looking at that research, the descriptions. And
2 if I have a question, I reach out to the
3 individual and say, "What are you doing with the
4 agent?"

5 MS. MORITZ: But that does bring up the
6 point of below threshold levels of botulinum toxin
7 which are concluded I these guidelines and that is
8 a lot more difficult because you're basically
9 relying the biosafety protocols to find who those
10 individuals are and you have to hope and cross
11 your fingers that they have it in their biosafety
12 protocol. That's where the catch is going to be
13 and it's going to be a lot harder to find.

14 MR. HAUCK: Well, we really narrowed it
15 down to the neurologists and the
16 gastroenterologists because they're using Botox,
17 and we're also going to send out a survey saying
18 "you playing with any other of the toxins as well
19 as the Botox," so we're not singling out just the
20 Botox crowd. We want to know who's working with
21 what the institution.

22 DR. DIXON: Chris.

1 PUBLIC SPEAKER: So I wonder if -- are
2 there any examples where you've looked into
3 various risk mitigation measures and the only
4 thing you've been able to settle on is to either
5 significantly alter the experiment, do it in a
6 fundamentally different way or to not do it at
7 all? Have you ever run across those instances and
8 if so, can you tell us a little bit about them?

9 DR. KANABROCKI: I'm happy to say I
10 haven't read into that at University of Chicago
11 yet but again, I do -- I mean I think that at
12 least in terms of publication, if you have a
13 finding that you can't argue in an intelligent way
14 that the benefits outweigh the risks, you really
15 have to wonder about that publication and whether
16 it should go forward.

17 MR. HAUCK: I showed you one example
18 before. Most of our research is being done in
19 attenuated models. Again, the researchers are
20 very aware of what they're working with and again,
21 they don't want to get infected with it and they
22 don't want anybody else getting infected with it,

1 so I'm blessed.

2 DR. KANABROCKI: Just one more comment
3 there. I think, you know, as we all probably
4 feel, we have 15 pathogens or 15 agents on the
5 list but as we've seen and as we know, DURC isn't
6 limited to those agents. And so we are trying to
7 cast a wider net and educate the community about
8 DURC even if they're not working with one of those
9 15 pathogens. But, you know, obviously, some of
10 the classic cases of DURC don't involve one of
11 those 15 pathogens. So I think we really have to
12 ask that really hard question, what is the end
13 game and where are we going. At the end of the
14 day, is it going to stay limited to those
15 pathogens or -- and, you know, this goes to this
16 whole issue of lists which has been debated for
17 many years.

18 DR. DIXON: So we're near the end of our
19 time and yet I wanted to round out with one final
20 thought that I thought was interesting as we were
21 going through preparation for this panel on the
22 phone. And I think we all recognize that we found

1 ourselves in a new place needing to do these kinds
2 of assessments and we started with how do we do
3 this, what makes sense. I suspect there are
4 people out there who want to know how do we know
5 if we're doing the right thing for the risk
6 mitigation plan, what are they looking for, what
7 should it look like, will they know it when they
8 see it.

9 And so I think it was pointed out a
10 couple of times throughout the day that we're not
11 starting from nowhere in terms of risk mitigation
12 plans and that -- I don't know of any instances
13 where we're not dealing with a select agent. And
14 so the select agent rule is in law and there are
15 specific explicit ways to do the risk
16 assessment/risk mitigation plan or at least
17 conceptually that people develop these explicit
18 protocols.

19 So would any one of you like to
20 summarize our discussion on what you see as being
21 different from DURC relative to a select agent
22 risk assessment plant/risk mitigation plan?

1 MS. MORITZ: Communication of the
2 research.

3 DR. KANABROCKI: Yeah. So I think
4 that's an important fact to consider. A select
5 agent is still a select agent unless perhaps you
6 make it a worse select agent in which case why is
7 it worse and does that invoke new risks that you
8 need to test for. But I think the communication
9 aspect is really important and in the companion
10 guide, there's a long section on that and I would
11 imagine -- I know the folks in the government are
12 happy to help guide you to the right place and I
13 imagine there are colleagues in the community who
14 would be happy to share your experiences with
15 those who find themselves in that situation.

16 DR. KANABROCKI: I would add one more
17 thing. I think it's largely about communication.
18 I shook my head vigorously when Rebecca said
19 communication and I really think that's the bulk
20 of it. But I also think that it is forcing all of
21 us, the PIs, the folks that help with the work,
22 and those that fund the work to really think hard

1 about how to do responsible science and to ask
2 that hard question, "Should this experiment really
3 be done and what's the gain?" I don't know that
4 that's necessarily being asked without this. I
5 don't think select agent gets you there so that's
6 another piece that I think is added to the
7 process.

8 DR. DIXON: I don't see (inaudible) they
9 charging to the microphone? We're at time so I
10 think we'll stop here and go to the next item on
11 the program.

12 (Applause.)

13 MR. BAYHA: So afternoon, everyone. My
14 name is Ryan Bayha. I'm the Senior Analyst for
15 Biosecurity and Biosafety Policy here at the
16 National Institutes of Health. Before we get into
17 this presentation about outreach and education on
18 the dual use research issue, I just wanted to echo
19 Susan and Carrie's welcome to everybody that's at
20 the webcast and to the people in the audience, a
21 special welcome as well because we do realize
22 travel budgets are very tight to get here. We

1 realize Washington, in the idle of July, is not a
2 particularly destination due to the heat. We also
3 realize that it's not a very easy facility to get
4 into sometimes. So we do appreciate your
5 determination in getting in here and I think it's
6 a real testament to your dedication to your jobs
7 that you showed up here, so we thank you for that.

8 I think one of the themes that we've
9 seen so far in the meeting is, as Joe and Rebecca
10 and Phil mentioned, there is this kind of public
11 notion that this research is very dangerous and
12 that it's unchecked and there's nothing standing
13 in the way between this research, you know, for
14 lack of a better term, you know -- I won't even
15 say it but But really, I think this is really a
16 good message for why institutions should strive to
17 be as transparent as they can because I think the
18 mere fact that we have, I think at last count,
19 nearly 200 people -- 200-plus that are just either
20 attending in person or watching over the webcast.
21 This meeting shows how many people are actually
22 dedicated and care about this issue of making sure

1 this vital research is done safely. So I think if
2 institutions strive for transparency, that might
3 make the public a little less worried about what's
4 going on if they knew how many talented people
5 were actually keeping them safe.

6 So with that, I'll actually start my
7 presentation and the purpose of this presentation
8 is really just to give you the landscape of what
9 the outreach and educational materials that are
10 available are. So some of it will focus on what
11 we've done here at NIH and some will focus on what
12 the USG materials are. It really doesn't matter
13 whether it's an NIH or a USG material. They're
14 all available to you for your use.

15 So the goals of outreach and education
16 for dual use research of concern, we really want
17 to promote general awareness of the issue among
18 the scientific community and other stakeholders,
19 apprise the research community on the status of
20 the federal policy-making process. We want to
21 promote and engage thoughtful input from
22 stakeholders on the NSABB's work products and

1 federal policies, and we want to sustain a culture
2 of responsibility. My presentation will focus
3 really on the first three items here and the ways
4 that we accomplish and achieve those goals.

5 So general education and awareness-
6 raising, how do we really go about doing it? It's
7 a big task. One of the major ways we do this is we
8 have exhibits and posters at major scientific
9 meetings and conferences. At NIH, we're usually
10 at a lot of the major biosafety conferences so we
11 either pair it with something we're doing at a
12 biosafety conference or we might just do a
13 biosecurity exhibit alone on dual use research.

14 This is a screenshot of our lovely
15 booth. It's very "blue." It takes three union
16 personnel about 3-1/2 hours to put it together at
17 a conference so it's a very involved process but
18 it's very colorful and it draws a lot of
19 attention. We get a lot of good traffic at
20 conferences. The three most important things in
21 this picture are the red bins which usually have
22 candy and draw people to the booth. Most of the

1 time, my colleague, Kathryn Harris, is putting the
2 candy in there out of her own pocket due to
3 prohibitions of government spending money on these
4 type of things. So I just wanted to thank Kathryn
5 for providing that candy to the public.

6 You can see on the table we have a
7 number of different outreach and education
8 materials, whether they be NSABB reports, FAQs.
9 You can see kind of that green brochure there
10 which is also in your packet. That's the general
11 dual use brochure. And we also have a multimedia
12 exhibit where we have a slideshow going on TV in
13 the background that can either portray a few
14 slides about dual use research or about
15 biosecurity in general.

16 This is an early poster we used to
17 exhibit. It was really just an introduction to the
18 biosecurity issue and an introduction to the
19 NSABB. It pretty much went over what dual use
20 research is, who the NSABB are and what their main
21 functions would be. Yet another early kind of
22 iteration, we meant more from an NSABB poster into

1 the dual use research and the life sciences
2 poster. Again, it just really is a primer on the
3 issue. It wasn't meant to be exhaustive but it
4 was really just in the early days to get people
5 thinking about this issue and having it on their
6 radar screen.

7 This is actually our latest iteration
8 and I think this is a very good educational
9 poster. This is obviously a poster so it was too
10 big to put into your packets but if you want
11 copies of this poster for your institutions, just
12 email and we'll send you as many as you need. The
13 purpose of this poster -- now some of you might be
14 familiar with our recombinant DNA poster. We had a
15 poster that said, Are You Working with Research
16 Involving Recombinant DNA? If yes, contact your
17 biosafety officer because you might be subject to
18 the NIH guidelines. You know, you have to
19 register with the IBC. This poster was kind of
20 borne out of the same principle. Many times as
21 well, when you mention training to investigators,
22 you automatically assume it's a PowerPoint, it's a

1 classroom presentation, but this is actually a
2 very effective and quick training presentation to
3 a potential PI if it's put in an area where PIs
4 congregate or they're frequently seen. It's very
5 eye-catching just due to the colors and the
6 graphics. It asks very simply if your research is
7 involving any of these 15 agents; if yes, you
8 might want to contact this institutional contact
9 at your institution to see if your subject to the
10 DURC policy. It doesn't attempt to give you the
11 entire presentation in one lump sum or in one
12 sitting but it really just gets an investigator
13 thinking about these issues, whether they might be
14 subject to the policy, and if they have questions,
15 who they can actually contact for more
16 information. So I think this is very effective
17 and again, if you need copies for your
18 institution, we have tons of them. We'll be happy
19 to provide them upon request.

20 We also utilize a lot of multimedia
21 educational materials. Some of you may remember
22 that about in 2008, NIH put together an

1 educational video intended to be an awareness-
2 building tool that can serve really as the opening
3 chapter for future educational materials and for a
4 dialogue at your institution. The video was a
5 conceptual introduction to the dual use issue.
6 It's interesting to note that really it's more
7 focused on DUR rather DURC because it was in 2008.
8 The video also features nationally- respected
9 scientists and policy-makers describing the
10 relevance and their importance of the issue, so
11 people like Maxine Singer and Eckard Wimmer are
12 featured in the video and it's a very good
13 introduction. If you have people on your staff or
14 people that might not be familiar at all of what
15 the dual use research issue is, it's a very good
16 introduction to get them thinking about it. It is
17 available on YouTube. I think the last time I
18 checked, it had about 13,000 hits so that's not
19 too bad. It'll never get to the level of, you
20 know, the cat following the laser pointer kind of
21 thing but it's been pretty successful so we're
22 pretty happy with the amount of people that are

1 watching it. I think we do still have some CD
2 copies available if you want to request them but I
3 think this is a very good resource as a beginning
4 primer in the dual use research issue.

5 This brochure is in your packet. It was
6 an earlier iteration that we started that just,
7 again, it was the general issue, "Does your
8 research have dual use potential?" It was
9 targeted specifically to investigators. Much like
10 the video, it offers a conceptual introduction to
11 the issue. It is available on both the S3 website
12 and our NIH website in a pdf form. Also, if you
13 need more copies to hand out to your
14 investigators, again, just drop us an email and
15 we'll provide to you as many as you need. We've
16 already distributed 5,000 of these brochures to
17 institutions. I think we began printing these
18 maybe two to three years ago and so 5,000 we have
19 already distributed. And again, we have a lot of
20 them so we encourage you that if you need these
21 brochures to get in touch with us and we'll get
22 you as many as you need.

1 This is another good kind of training
2 activity. It's not a classroom. It's now a
3 PowerPoint presentation. This is a simple PI
4 responsibility brochure. It's targeted again
5 specifically to the PI community, available in
6 print and electronic form, and I think we've had
7 roughly about 3,000 to 5,000 of these already
8 distributed. You'll find a copy of this in your
9 packet as well. Again, if you need more copies, we
10 are definitely available to give you more. This
11 is a good tool because if, say, a biosafety
12 officer or someone in EHS or someone in the select
13 agent program, they can just take this procure
14 with them when they're going to the lab to talk to
15 a PI and they can actually just very quickly go
16 over it with them, their responsibilities under
17 the policy. It's not a very labor-intensive
18 process. You can go into the lab. You can just
19 kind of have a collegial conversation with the
20 investigator and say this is a brochure that the
21 government has put out. These detail you're
22 responsibilities. You know, we're obligated to

1 train you on these. This is just one thing we're
2 going to do to help you understand what you have
3 to do under this policy.

4 We make many presentations to key
5 constituency groups on the dual use research
6 issue, on NSABB activities, and federal policy-
7 making as well. We have also prepared standard
8 slide set for training purposes. This is on the
9 S3 website. This slide set, I think there are
10 about 50 slides. It's a general slide deck.
11 Fifty is probably much more than any one
12 institution would or should ever need. However,
13 the point of the slide set was that you could take
14 it, you could keep the slides you want, you could
15 throw out the slides you don't need, and whatever
16 slides were specific to your institutional
17 purposes, you would just insert in. So what we
18 were trying to achieve here is that you didn't
19 have to start from scratch with how am I going to
20 do an entire dual use research of concern training
21 slide set. We've kind of done that for you. So
22 this is on the S3 website. We encourage you all

1 to take a look at it, provide any feedback on it
2 that you have and use it as you need and augment
3 it as necessary for your own purposes.

4 Another thing that we specifically do
5 here at NIH is that some of you might know, our
6 "IBC Basics" and our "Effective IBC" course,
7 Kathryn Harris and myself usually teach these
8 probably three to four times a year. This is
9 really for the IBCs and their responsibilities
10 under the NIH guidelines. But for the past
11 several years, probably the past five years, we've
12 been incrementally increasing more information in
13 those sessions about dual use research of concern
14 and about the dual use issue. So we used to have
15 maybe a 20-minute presentation. Now I think we
16 generally can split it up to either a half a day
17 on the IBC requirements, maybe half a day on the
18 DURC requirements. And we have slides that go
19 along with this presentation so again, if you want
20 those, please feel free to ask us for them.

21 Another aim and mode of outreach is to
22 keep the community current on the status of

1 federal policy- making including the activities of
2 the NSABB. I think the importance of the NSABB
3 has already been well- established just not only
4 today but just through their existence. But the
5 main way we keep the public informed of these
6 activities are our website. It's the portal for
7 all information on the NSABB. It's meetings.
8 It's work products. There is an email inbox for
9 public queries. If they have questions about
10 NSABB deliberations or NSABB meetings or NSABB
11 products, the public, at any time, can email to the
12 NSABB inbox to get their questions answered. And
13 also, the Office of Biotechnology has a listserve
14 where we periodically provide updates that are of
15 importance to the research community.

16 This is just a screenshot of our
17 biosecurity webpage. You'll notice that it has
18 two subtopics; one is dual use research of
19 concern. That's where you would find all of the
20 resources I have been speaking about today. And
21 then the second one is the National Science
22 Advisory Board for Biosecurity, so that really is

1 all the information we have about the NSABB.
2 That's the roster, their charge, information about
3 past meetings, all of their past meetings are
4 online there, information about future meetings,
5 and just any other information you would want to
6 know about the NSABB is all right there on our web
7 page.

8 Another thing we do is we also have a
9 job of disseminating the NSABB work products and
10 the information. We've all talked about NSABB's
11 important role in the policy-making process. IT
12 is a vital job to disseminate that work, those
13 products, and the information so that the public
14 can kind of know where these policies are coming
15 from, that they just weren't created in a vacuum,
16 that these policies actually were rigorously
17 thought out and vetted and then made into
18 government policies. This is just a screenshot of
19 what the NSABB reports.

20 Kind of the fan out look on them. I
21 believe it's current with the seven reports. It
22 might be more but this is just to get you just

1 visually attuned to the type of work products the
2 NSABB has produced. Again, all of these are on our
3 website.

4 Another thing on our website are general
5 biosecurity FAQs. So we have FAQs about, you
6 know, what's the NSABB, what's biosecurity, what's
7 DURC. And to match that, we also now have
8 institutional policy-specific FAQs so these were
9 designed specifically about the institutional
10 policy. I believe there are 15 FAQs now on this
11 list. It provides information about institutional
12 representatives and it also tells you where you
13 can find more information or additional guidance
14 or resources. This is a living document. I think
15 one of the major things that this meeting is going
16 to probably provide is more frequently asked
17 questions to this document. So since we've had a
18 lot of good questions here, we're probably going
19 to want to amend these to reflect some of the
20 questions we've heard. So I would not be surprised
21 to see this document amended. Right now, of
22 course, with all the other documents, it's on the

1 S3 website and it should be a good resource when
2 you're implementing this policy.

3 We also do outreach through blogs,
4 articles and statements. I would be remiss if I
5 didn't mention our own blog, our new Office of
6 Science Policy blog. Several of you, or based on
7 the numbers of subscribers we have, maybe many of
8 you probably got the last message about Carrie
9 Wolinetz' invitation to the DURC workshop, this is
10 going to be a very exciting blog. It's
11 interactive. It's not just going to be about
12 biosecurity but it's science policy issues in
13 general, but I'm sure biosecurity will be a hot
14 topic in the blog. Right below there is the link
15 where you can subscribe to the blog and you can
16 also get to it from our web page as well.

17 We've also issued, in the past,
18 statements, articles, and various other policy
19 documents. There have been statements from Dr.
20 Collins that have been posted to the IH Directors
21 page. There have been published articles about
22 extra oversight and things like that, so there

1 have been numerous forums that we've used.

2 So we get to the point where ensuring
3 stakeholder input into NSABB work products and
4 federal policy-making is now the topic. So we do
5 this through two main ways, the Federal Register
6 notice which makes you aware that we're going to
7 have either a public consultation meeting or a
8 meeting of the NSABB. That's very important
9 because it shows that, you know, it's a
10 transparent process. The NSABB meetings are
11 announced in the Federal Register. The public is
12 invited to attend. All of the materials that are
13 presented at the meeting, provided they're not
14 security-sensitive, are then posted on the website
15 for the public to review.

16 Public consultation meetings like this
17 one are of a lot of importance to the policy. I
18 don't think it can really be understated. I think
19 we've heard of two issues so far that really we
20 wanted to hear -- so the Botox issue about the
21 under the minimum quantity and the difficulties,
22 these are things that we really need to know about

1 because the policy is still kind of in its nascent
2 phases. It's alive but it hasn't really been
3 implemented yet and there's not a lot of
4 experience in implementing it yet. So things like
5 the Botox issue and things like the scope of the
6 policy, like the 15 agents, there was a particular
7 reason why it was limited to that scope, because
8 when you're first putting that policy into place,
9 you don't want to be overly burdensome and say,
10 okay, it's going to be 35 agents and everybody's
11 got to gear up this and I think even the policy
12 acknowledges that those 15 agents are not the
13 universe of potential DURC but they're just the
14 highest potential right now with our current
15 understanding. So that's why that scope was
16 limited like that.

17 However, the comments that you provide
18 are what helps us eventually amend these policies,
19 so we do want to hear your thoughts on the scope
20 of the policies. We do want to hear your thoughts
21 on Botox being, in any quantity, what type of
22 burden that poses or whether we're really meeting

1 our goal of managing the potential risks without
2 slowing down the research. So we do want to hear
3 your opinions on this and this is a great form for
4 it and, of course, like Carrie said, this is not
5 your only bite at the apple. There are multiple
6 opportunities to keep engaging with us on this
7 topic after this meeting ends.

8 So here's the groan. Everybody sees the
9 policy. It's up there. It was issued September
10 24, 2014. It's effective September 24, 2015.
11 Institutions had one year. We're down to about
12 two months now where before you have to implement
13 and have all your oversight systems in place. And
14 the reason we gave that year was we determined
15 that that would be a sufficient interval for
16 appropriate education and training to take place.
17 So, really, the tools and resources listed below
18 on this slide, the purpose them is to help
19 identify and comply with the DURC policy, how to
20 perform risk assessments and develop and risk
21 mitigation plans, and how to responsibly
22 communicate the findings of DURC research, and

1 those tools and resources all further those goals.

2 So I'm going to spend the last few
3 minutes of the talk here just talking about some
4 of those resources. The companion guide, which is
5 in your packet, I think would probably be your
6 most important resource when you're implementing
7 this policy. The policy itself is about 13 pages.
8 The companion is 85. It's a typical government
9 thing where the policy is two pages and the
10 implementation is 172 but it's a very important
11 resource. I think most of the general questions
12 you would have probably could be answered by
13 taking a look through the companion guide. It has
14 a lot of information on how to conduct a risk-
15 benefit assessment, responsible communication
16 strategies. The appendix of this document
17 actually has some template forms for how to report
18 in DURC information to the USG if you wanted to
19 use that template. So I just think there is a lot
20 of great information here. There's also a series
21 of FAQs included. My advice would be anytime you
22 have a first question that pops into your mind,

1 the first place you should probably want to go is
2 the companion guide and obviously, if the
3 companion guide can't provide you with the answer
4 you need, then to contact us. But I think this is
5 really going to be a lot of institutions' best
6 friend in implementing and continual compliance
7 with the policy. As I said, it's got FAQs. It
8 has a lot of guidance for PIs, guidance for IREs.
9 And the templates, again, sometimes this is
10 helpful where if institutions don't want to kind
11 of create their own templates from scratch. The
12 templates are kind of nice because they kind of
13 give you the baseline information the USG would be
14 expecting when you're sending something to them to
15 you don't have to kind of guess, you know, would
16 they want this type of information, is this
17 extraneous, so the templates are kind of a nice
18 start. Feel free to, obviously, augment them to
19 your own institutional purposes but it's a good
20 start for these.

21 Case studies, I think it's a
22 universality that everyone likes case studies or

1 everybody wants case studies. These are some case
2 studies that were put together I think about a
3 year ago. The provide a range of examples of
4 research that are subject to the policy. And
5 really, the point of these -- and these are very
6 short case studies -- they demonstrate the types
7 of analysis that should be brought to bear during
8 IRE reviews. So these case studies are not going
9 to be like the one that we all went through this
10 morning. These are much shorter and just kind of
11 give you the kind of three or four basic things
12 you need to think about when you're reviewing a
13 protocol like this. So this case study, which I
14 mean, this was trying to be more of an exemplar
15 where you could apply the knowledge you use in
16 this case study to almost any situation involving
17 DURC. These are specific examples of DURC and the
18 type of analysis that should be used on those
19 specific examples. So they're complementary but
20 they have a little bit of a different function.

21 For this case study which Marci did a
22 fantastic job on presenting, I think this would be

1 a good tool for the IRE to go over with their
2 committee. Obviously, it's pretty lengthy. You
3 might want to chop it down, take off the parts you
4 don't really need too much but just it's a good
5 training tool I think. And again, it gets away
6 from the traditional PowerPoint classroom training
7 and it's something a little bit more interactive
8 and maybe even a little bit more engaging. You
9 could amend this to your own procedures and you
10 don't even have to do the whole thing in one
11 sitting. One meeting can be part one or, you
12 know, you can just focus on the risk communication
13 aspects of it if you wanted to. But I think this
14 would be a good training tool and I think also the
15 slides that Marci had up here to accompany the
16 case studies, I think that would actually be a
17 good tool for future moderators of that case study
18 to actually study so that they know how to present
19 the case. So I think that could be a valuable
20 tool as well and have it actually present the
21 materials because it's very involved.

22 So future outreach and education on the

1 institutional policy, we've kicked around the idea
2 of possibly having some webinar-type training for
3 ICDURs and others so that everybody can be on the
4 same page about what their responsibilities are
5 under the policy. We at NIH plan on continuing
6 our presence at key society and association
7 meetings. We can usually be found at ABSA or
8 meetings between AAU and COGR, ASM, FASAB, and a
9 number of other scientific societies.

10 The last few slides I want to go over
11 are international engagement slides. I think it's
12 been said earlier that this is not just a U.S.
13 issue, this is actually a global issue so I think
14 we'd be remiss not to go over some of the
15 engagement we've done with the international
16 community on dual use research. So the objectives
17 of the international engagement has been to raise
18 awareness of dual use life sciences research
19 internationally, gain a global perspective on DURC
20 issues and conflicts of interest to the USG,
21 create an international network of individuals and
22 organizations who are interested or engaged in

1 these activities; I mean a good example is the
2 French delegation who I had the pleasure of
3 meeting at lunch, that's a result of our
4 international engagement, and also just maintain
5 aware of the global status of activities that are
6 relating to DURC and identify any progress or gaps
7 that we see.

8 We've accomplished international
9 engagement through a number of different methods,
10 international roundtables, interactive webcasts,
11 video-telecons, and international workshops. I
12 won't go through all of these but we've engaged a
13 lot of inter-governmental agent organizations,
14 philanthropic organizations, industry. Here's
15 just a quick shot of all the NGOs. So it's been a
16 pretty exhaustive process. We have partnered with
17 WHO. They've co-sponsored two international
18 roundtables where WHO experts presented at two
19 regional events. They put out this 2010 WHO
20 guidance document that you see, and there was also
21 an informal consultation on DURC in February of
22 2013.

1 So the impact is we've identified
2 individuals or countries or organizations who are
3 active or have similar interests in DURC. We know
4 a lot better now about the current status of DURC
5 activities and management strategies on a more
6 global basis. We've created an international DURC
7 network and we have a collection of archived
8 educational resources that can be broadly used by
9 governments, institutions, or policy-makers. And
10 also, it just contributes overall to the general
11 policy discussion and the oversight when we have
12 kind of the perspective of other countries in mind
13 as well when we're making these kind of policy
14 decisions so that's been very helpful for us.

15 This s just a map to show you the
16 regions that have been engaged through the
17 international outreach, just kind of gives you a
18 sense of where we've been targeting and who's been
19 receptive to it and kind of the gaps that are
20 left.

21 So any additional information, the one-
22 stop shop for this will be the S3 website. It has

1 all the information about dual use research in the
2 life sciences. It gives specific details on all
3 the policies. If you wanted to see the March 2012
4 policy which applied to government agencies or if
5 you want to look at the institutional policy, it
6 is up on the S3 website as well and you can find
7 that web link right there. I might actually leave
8 that up in case there are any -- yeah, I'll just
9 leave this up while we do the questions.

10 Are there any questions or comments
11 about our outreach efforts or We also want to hear
12 about what potential outreach materials would be
13 helpful to institutions as they implement the
14 policy. We don't intend for this to be the entire
15 universe of our outreach. We really do want to
16 hear from you on what would be helpful and what
17 would help you implement the policy.

18 PUBLIC SPEAKER: So Ryan, I want to
19 thank you for that presentation and just mention
20 to the folks in this room, if you don't already
21 know it, Ryan actually answers his phone.

22 (Laughter.)

1 PUBLIC SPEAKER: So I think in many
2 ways, you have --

3 MR. BAYHA: (Inaudible).

4 PUBLIC SPEAKER: -- in many ways, you
5 have our been our resource and information for the
6 last 8 or 10 years and we appreciate it.

7 MR. BAYHA: Oh, thank you, Rich.

8 DR. KANABROCKI: Ryan, I'm not sure if
9 this is the right place to ask the question but
10 how is compliance going to be monitored?

11 MR. BAYHA: Well, it's an interesting
12 question and I think it's a hard question to
13 answer in general because for NIH, and I think for
14 a lot of other USG institutions, much like any
15 other policy, there is a lot of trust on the side
16 of both parties. When you sign up for an award,
17 you sign terms and conditions that say you will
18 comply with XY and Z, you're going to comply with
19 the animal welfare regs or you're going to comply
20 with the human subjects, comply with the NIH
21 guidelines. It's going to be much the same with
22 DURC. You're going to say "yes, I agree to comply

1 with the provisions of the DURC policy."

2 Obviously, if NIH becomes aware of, say, an NIH

3 grantee that's not complying with the policy,

4 we'll have to deal with that on a case-by-case

5 basis depending on what the issue actually is.

6 Obviously, if someone is just doing -- the usual

7 issue we find with non-compliance is just a

8 general misunderstanding or a lack of awareness of

9 what the requirements are.

10 So our first tact is usually through an

11 outreach mechanism where we'll re-educate you on

12 the part of the policy that might be a little

13 confusing or you might not be getting and then

14 we'll work with the institution to ensure that

15 they actually are in compliance in the future. So

16 that's generally our approach, is to handle it as

17 a partnership. Anyone else?

18 (No response.)

19 MR. BAYHA: All right. Well, if there

20 are no further questions, I think we have a break

21 until 2:45 so it's 25 minutes. I think it's until

22 2:45. Okay, so yeah, 2:45, please be back and

1 we'll do Panel 3.

2 (Whereupon, off the record at 2:16 pm.,
3 and back on the record at 2:32 p.m.)

4 MS. DOERR: Good afternoon. Is everyone
5 ready to start? Welcome back, everyone. My name
6 is Cheryl Doerr and I am the Compliance Assurance
7 Program Manager at the Department of Homeland
8 Security Science and Technology Directorate, and I
9 have the great pleasure of moderating this panel
10 this afternoon. Our panel is Raising Awareness
11 and Education about Dual Use Research of Concern.
12 So luckily, I don't have to talk much. My job is
13 just to introduce three wonderful experts that we
14 have right here.

15 First, we have Dr. Stephen Higgs with
16 Kansas State University. Dr. Higgs is the
17 Director of the Biosecurity Research Institute and
18 Associate Vice President for Research at Kansas
19 State University. He is responsible for
20 oversight, coordination, and expansion of BRI's
21 multidisciplinary research and education programs.
22 He also serves as the Associate Vice President for

1 Research facilitating bio preparedness research
2 campus wide.

3 Next, we have Dr. Richard Frothingham.
4 Richard as an Associate Professor of Medicine at
5 Duke University Medical Center. He directs the
6 NIAD Regional Biocontainment Laboratory at Duke
7 University which was built to support research,
8 develop drugs, diagnostics, and vaccines for
9 emerging infections and biological threats.

10 And finally, we have Patricia Olinger.
11 Patricia Olinger is Assistant Vice President in
12 the Office of Research Administration and the
13 Executive Director of the Environmental Health and
14 Safety Office at Emory University. EHSO has
15 university-wide responsibility for all aspects of
16 environmental, health, and safety support
17 including biosafety and EHS compliance to support
18 Emory Health Care. And without further ado, I'm
19 going to turn it over to our experts.

20 DR. HIGGS: Well, thank you, Cheryl.
21 It's a great privilege to be here. It's an honor
22 to participate in this. What I'm going to do in

1 my allotted seven minutes, and I'm sure will
2 somebody will waive at me when my time is up, is
3 go through about 25 slides of how Kansas State
4 University -- really, I am --

5 (Laughter.)

6 PUBLIC SPEAKER: I'm timing.

7 DR. HIGGS: and -- she's timing me -- of
8 how Kansas State University has been addressing
9 scrutiny of a dual use research compliance
10 essentially, so that's my introductory slide that
11 you see.

12 So this is what we've been doing up
13 until now with the proviso that things are going
14 to change somewhat because of new regulations
15 being implemented on the 24th of September. So
16 basically, all research on biological agents and
17 toxins require a principle investigator to submit
18 a registration document to our University Research
19 Compliance Office. It's then reviewed by the
20 Biosafety Committee. If there is animal research
21 involved, it also goes to the IACUC. All PIs and
22 all of their staff listed on those protocols that

1 are submitted must complete appropriate training.

2 And regardless of whether there is a dual use

3 research component or not, they have to do the

4 training on dual use research; it's mandatory.

5 No research at all is approved without

6 the satisfactory completion of that training and

7 that training is typically good, as it were, for

8 three years and then they get automatic

9 notifications that it has to be renewed, and then

10 their research effectively could be halted unless

11 they do that. And I go through all of this

12 training as well even though I'm Director and most

13 people try and keep me out of the laboratory these

14 days, sad but true.

15 So this is where it starts. The

16 principle investigators and their staff get a

17 notification -- and like I say, I will be leaving

18 these slides with you -- they basically are

19 assigned different training modules dependent on

20 the type of research that they are doing. For the

21 dual use research, there is a box just down there

22 and this is what it looks like. They all log in

1 with a unique identifier, basically user name and
2 password. That allows automatic training of their
3 progress to guarantee that they completed that
4 training and then to send those reminders as it
5 were.

6 So that's where they start and then they
7 get a screen like this and -- trying to think, I
8 went through this a few weeks ago over the course
9 of a couple of hours or so -- you go through these
10 slides, so there's our education, okay. This is
11 the institution training for K State Research,
12 dual use. And I've -- there are 41 slides. Don't
13 worry, I'm not showing all of them. This is the
14 fourth one and then I've selected key slides
15 related to dual use research.

16 So it explains what the purpose of the
17 training is, to have a positive impact on their
18 activities and their awareness of this and there
19 is a quiz at the end. It explains the personal
20 responsibility of being an investigator and
21 remember, all of their research staff are also
22 undergoing this training as well. And, you know,

1 we take responsibility very seriously. We have
2 to; obviously, the nature of the research that we
3 do, we do a lot of select agent research in the
4 BRI but we work with a whole variety of pathogens
5 and toxins on campus, so people have to get this
6 message. And we also have used NIH training
7 programs as our basis for developing this
8 particular program, so we're very much in line
9 with what is already out there but a lot of this
10 has been developed independently. As I said, we
11 will change.

12 We give references. People can refer
13 back. We give the hyperlink text to refer them in
14 the hope that they are interested, and we know
15 they take it seriously, but they are interested in
16 pursuing and finding out more about the type of
17 regulations and requirements that are involved.

18 We explain the scientific advances that
19 could be used, so what does this concern; I mean a
20 lot of people are working on, say, avian influenza
21 that doesn't always spring to mind as a biological
22 weapon, if you'd like, but obviously, that was an

1 area that was very controversial a few years ago
2 in terms of dual use. So they get this
3 explanation as to what dual use research is, how
4 it might apply to them, the consequences, and what
5 they can do about it to avoid accidentally going
6 down that track.

7 I have to say over years of scrutiny, we
8 have not had a single research project that has
9 fallen into the DURC category.

10 The IBC people, the IACUC people all
11 look at these protocols very, very meticulously
12 and one of the things I like about K State is that
13 when they meet, they all actually invite the
14 investigators to participate. That cuts down on a
15 lot of emails. So they can ask the investigator
16 pertinent questions about their research, about
17 protocols, and really get to the answers very
18 rapidly, face-to-face. That is easier than just
19 doing the sort of email bounce back and forwards.
20 But then the investigator does get an official
21 request to address any concerns so that it is all
22 well-documented.

1 I'm just going to go through some of
2 these slides. It explains the U.S. regulations,
3 puts it in the context of some of the
4 international context as well, societal
5 responsibilities. So like I say, it's putting to
6 the investigators and their staff and most --
7 well, the principle investigators are working in
8 the lab. I'm a principle investigator and I know
9 their projects and I must admit, most of my
10 research is now somewhat vicarious in that other
11 expertise is used to do my type of research, but I
12 still go through all of the training and sign on.

13 As you know, it was 29th of March 2012
14 when some of these discussions were really at the
15 forefront and we've moved on from there over the
16 last few years. Certainly, when those IBCs and
17 IACUCs review protocol was -- there are some key
18 indicators of what might sort of ring the alarm
19 bells, if you like; one of my colleagues says for
20 the seven deadly sins and then the 15 pathogens of
21 what is under here. So any research that is
22 submitted that involves any of these agents -- and

1 yeah, we've worked with Yersinia, Francisella,
2 burkholderia, avian influenza, and anthraces -- if
3 one of those agents is listed, that immediately
4 sets the ball rolling as to the committee's
5 questioning those investigators as to what type of
6 research exactly are you doing and could it fit
7 into, like I say, those seven deadly sins here in
8 terms of, you know, enhanced pathogenecity,
9 broader host range and things like that.

10 We constantly provide updated
11 information but we also provide a resource for
12 those investigators to be proactive on their own
13 behalf and on their research teams to contact our
14 University Research Compliance Office, the IBC,
15 and IACUC. I know there was one question about
16 providing feedback about -- the nice thing about
17 our system is, like I say, investigators are
18 invited to the meetings and are involved sometimes
19 in quite vigorous discussions with the IBCs and
20 IACUCs who look at the protocols very, very
21 carefully.

22 You get to that point and then you get

1 the quiz at the end. At the moment, it's been
2 this 10 self-grading questions which you register
3 for and hopefully, well, you eventually get the
4 certificate, one hopes, if you've done it
5 successfully. Wrong answers lead you back to the
6 slides and you have to go back through the slides
7 to get the information you need, so it's kind of
8 self-guiding.

9 So that's what we've been doing up until
10 now and things are going to change. We know these
11 regulations are coming into effect in about a
12 month's time, two months' time, and we have
13 everything in place to address the new
14 requirements. This is just a brief outline of --
15 a summary of what we're going to do including the
16 designation of an institutional contact for dual
17 use research which is mandated and also
18 establishing a new committee, which I am told I am
19 going to be the chair of likely. This is a draft
20 but I thought it's important to show you what
21 we're going to do.

22 And we are going to be, obviously,

1 continuing with our education. We're actually
2 moving from the current system that we've had
3 which has been self-created back to a city-
4 developed program which we know NIH is. So this
5 is what the procedure at K State will be. How am
6 I doing for time, okay? Good.

7 The PI will have the responsibility of
8 informing the compliance office but as I say, the
9 compliance, the IBCs and IACUCs are constantly
10 looking for those pathogens of concern and the
11 types of experiments.

12 The pre-award service will alert if a
13 project has DURC potential but like I say, that's
14 necessary but it's automatic, by the way, that our
15 experts They will answer questions of, you know,
16 is there an agent listed and does it have types of
17 experiments that might be of concern. That
18 institutional contact will then initiate the
19 institutional research entity for a timely review.
20 They will meet with the investigator. If there is
21 a genuine concern there might be a DURC-type of
22 project activity, then the investigator has to

1 develop the mitigation plan, and then that is
2 reviewed.

3 There will be an appeal process which
4 will be established by our Vice President for
5 Research, and we will be able to call in subject
6 matter experts on those types of pathogen if
7 needed.

8 Training, all K State researchers are
9 going to be required to take the City
10 Collaborative Institute Training Initiative
11 training on DURC as part of their routine
12 applications, so just like they've been doing.
13 It's going to be different courses. It's going to
14 be courses which have been developed independently
15 but which are already used by the NIH and many
16 other things -- many other institutes, and that
17 training will be required every three years.

18 So that is what we are doing and that is
19 the end of my slides. Do I have time for
20 questions or just an overture? Richard?

21 PUBLIC SPEAKER: We're going to ask
22 questions at the end.

1 DR. HIGGS: Questions afterwards, all
2 right.

3 PUBLIC SPEAKER: (Inaudible) for the
4 questions together.

5 DR. FROTHINGHAM: Well, thank you all
6 for hanging in there. Talking about training at
7 three o'clock in the afternoon, we're going to
8 give it a go. I do not have 25 slides, so Anyway,
9 this is the disclaimer. These are my own
10 opinions. Next slide. Oh, I have to do it myself,
11 don't I?

12 (Laughter.)

13 DR. FROTHINGHAM: We'll sort this out.
14 Okay. So I'm going to talk a little bit about
15 what we've done in the past sort of as a
16 background to this and I think as we think about
17 what we've been doing, it has some insights to
18 point us forward to the future. Clearly, this new
19 set of guidelines, this new policy is new to us as
20 well, but I think there is some foundation that we
21 can learn from. So I'll talk about what we've
22 done in the past and then whom do we teach and

1 what do we teach and we teach different things to
2 different people I think you'll see.

3 So we've been doing this for quite a
4 while. Historically, the NIH funded a regional
5 Center of Excellence at Duke, the SERCEB, and it
6 had a policy, ethics, and law core which focused
7 on dual use from the beginning so in 2003, all of
8 the SERCEB projects were reviewed. Coming out of
9 that, the Duke IBC got a little encouraged to do
10 the same thing so we started doing this in 2005.
11 We put the screening questions on. We took the
12 training together and we've been reviewing
13 protocols for dual use ever since.

14 Interestingly, in the first few years,
15 we came across quite a bit and my impression has
16 been that as the years have gone by, we've found
17 less and less and maybe we're just a little bit
18 less aware of it these days than when -- than we
19 were in the first few days when we were all geared
20 up for it.

21 In any case, we reviewed protocols
22 during the RDNA (ph) review. We had protocols

1 referred to us and we would take anything that
2 came our way. We would talk about it. We didn't
3 have a specific definition of ABC gets you into
4 this review. So we reviewed a lot of things that
5 wouldn't really fit under -- certainly would not
6 fit under the U.S. Government policy.

7 We did publish on our experience in
8 Science and this is just some case stories. This
9 is the SERCEB experience, not the Duke IBC
10 experience but there was some overlap between the
11 two groups and the SERCEB referred things to us
12 for review.

13 So what did we learn from this dual use
14 review experience? And these are just the bullets
15 of what was going on. As we look to these
16 protocols, you can see dual use review potential
17 in a lot of protocols. If you think about it
18 hard, most biomedical research has some degree of
19 dual use potential and without a definition, we
20 talked about a lot of different protocols. The
21 PIs, as we put these questions in and asked them
22 about dual use and started questioning PIs, it was

1 evident that PIs, brilliant scientists did not
2 really understand what we were talking about. And
3 they said, oh, so you want more biosafety or you
4 want us to wear PAPRs or you want -- well, okay,
5 that's fine but that's not what we're talking
6 about here.

7 Duke IBC members could not reach
8 consensus on whether something had dual use
9 potential or not so we went into this thinking
10 well, let's make some categories and we'll say
11 "minimal," "substantial." You know, we thought is
12 -- we didn't have the dual use research of concern
13 topic at that point, that concept. We thought
14 well, is this real or not and as soon as we
15 started talking about trying to classify research,
16 it just went all over the place and our
17 researchers would imagine scenarios and then our
18 other researchers would say well, this is no
19 different from anything else. And so we found we
20 just couldn't classify realistically but we could
21 agree on management strategies and so there is
22 some hope there at least.

1 We found a few protocols. Here are some
2 examples of some found early on and I'm just
3 throwing them up there. A couple of things to
4 notice about this, none of these would fall into
5 the U.S. Government policy. None of them involve
6 select agents. And also, none of them were
7 identified by the PIs going forward. They were
8 prospectively -- they were identified by -- in one
9 case, the NIH study section said, "Whoa," which is
10 kind of obvious, eck- familial cytokines (ph),
11 that's interesting. And then our program officer
12 contacted us and said, "Hey, this sounds
13 interesting. Are you sure this is okay?"

14 We had the dual use questions on the
15 registration form and we got a few nibbles there
16 but more often, we would identify dual use
17 potential during the review process and say, wait
18 a minute, what about this possibility. And as I
19 mentioned, this is a sort of expansion of what I
20 said before. We were often able to say this is no
21 problem and so, you know, propagating a virus in a
22 cell line attenuates; yes, that's tropism changes

1 but it doesn't really bother us. But other times
2 we had difficulty reaching consensus but we were
3 able just to come up with management strategies
4 and here are some of the management strategies
5 familiar to you all, the kinds of things that we
6 talked about: thinking about a contingency; if
7 something comes up, the researcher is supposed to
8 get back to us; things they will be looking for
9 that might be potential issues.

10 We did not ever turn a protocol down but
11 it was kind of sad one of the investigators, as
12 soon as we started asking the questions said, "Oh,
13 no, no, I won't do the research" and just backed
14 away completely from the concept which was sad to
15 us because it seemed like a good research project.
16 They came up with a different plan but we weren't
17 going to insist on that. It was just -- and I
18 think this is a realistic risk here is that as a
19 researcher, I don't want to touch this with a 10-
20 foot pole; I don't want to deal with this; I want
21 to -- and so do people back off of research
22 projects that might be controversial.

1 Efficacy of our program, we've been
2 doing this now for 12 years and we don't know
3 whether we're doing any good or not. Here's our
4 slide, you know, what has -- how it has happened
5 since we've been doing this dual use review.
6 Hopefully, this slide will continue in the same
7 fashion. This is our hope -- there will not be
8 any misuse of our research. But are we just -- we
9 don't know. Clearly, there have been examples of
10 consequence that have occurred in the world, so
11 we're going to keep doing this.

12 So for the policy that's given to us,
13 whom do we teach? And we've identified several
14 groups that we need to train, okay. So first of
15 all, under the policy, we're required to train all
16 researchers who are working with any of the 15
17 agents. And fortunately, almost all of this
18 policy is under select agents and every year we
19 train our select agent. Everyone who is a select
20 agent register has to be trained, and so as part
21 of our select agent training each year, we have
22 incorporated discussion of this policy.

1 So that seems fairly easy if it weren't
2 for that little footnote that we've all talked
3 about here about the botulinum toxin in limited
4 quantities. And so, of course, we have policies
5 for scrutinizing that and research labs that use
6 botulinum toxin actually -- and this seems sad to
7 me, too -- they've all given it up. None of the
8 research labs are working with botulinum toxin
9 anymore at Duke which surprised me when we went
10 out looking and we realized that they'd all given
11 it up. I hope that's not because they're afraid
12 of the review.

13 We continue to be concerned and this is
14 -- Ryan asked for feedback -- we continue to be
15 concerned about this little niche of clinical
16 research protocols using clinical product of Botox
17 which seem to be covered by this policy, and
18 that's not a big concern for us in the sense we
19 have already got to do all this stuff but there
20 are 100 other institutions that don't do anything
21 with select agents that use Botox on their campus,
22 actually hundreds, and if they do clinical

1 research -- so we kind of think we need clarity on
2 that. I don't think that the intention was to
3 regulate clinical research.

4 In any case, so what do you do with
5 that? We'll get to that in a moment. How do we
6 capture that? So we have trained all the members
7 of the IRE, of course, and that's -- and we did
8 use Ryan's slide set. Those 50 slides that you
9 referred to, we went through them pretty quickly.
10 And we have plans to train some of our gatekeepers
11 out here. So here's the issue. How do you find
12 this? For the select agent research, we have had
13 these questions out there for many years. Are you
14 working with a select agent? It's on all of the
15 questionnaires but for the limited quantities of
16 Botox, we haven't had it out there and we may need
17 to go back and train some of these folks. We've
18 done training sessions for these groups
19 periodically and we're thinking we need to go back
20 to those groups and at least bring them up to date
21 on this.

22 So what do we teach? And here's where

1 different groups need different amounts of
2 information. First of all, our gatekeepers, the
3 people who are going to be identifying this for
4 us, the grant review people, the IRB potentially
5 for a clinical trial of Botox, the IACUC where a
6 researcher throws something in there that we
7 didn't know they were working with. So we want to
8 capture it as broadly as possible and there are
9 the two basic questions: Does this research
10 involve any select agents, which is already out
11 there with, of course, an explanation of what are
12 select agents and all of that. So we've been
13 asking that for a long time. And I think this is
14 about what our question is going to involve. Does
15 it involve Botox or any other form of botulinum
16 toxin in any quantity. The word "Botox," the
17 clinical entity there to sort of capture people,
18 say, oh, we are talking about the clinical
19 product. I don't want to but we have to and then
20 any other form in any quantity. And so -- but the
21 gatekeepers don't really need to know where that's
22 going. They don't need to understand the three

1 steps that we talked about this morning which are
2 pretty complicated, especially to throw in there -
3 - it's complicated. It's not simple policy. But
4 they don't need to know any of that. They just
5 need to know if you answer "yes," call our
6 biosafety officer who is our ICDUR at this point.

7 Our researchers, okay, the people who
8 are working with select agents, we talked about
9 before we have incorporated this in select agent
10 training, if we identify any research with
11 botulinum toxin at the Duke Campus, we'll include
12 these folks. And we obviously tell them here's
13 this policy and then discuss the general concept
14 of dual use. And I'm going to a few slides here
15 about how do you convey dual use. And I think
16 Stephen's talk was great, you're showing those
17 slides. That's exactly the kind of content that
18 we want to bring around this because as I've
19 mentioned, our PIs don't really understand dual
20 use in general. They are thinking in terms of
21 biosafety, biosecurity, Biocontainment. And then
22 discuss the different management approaches, which

1 is just because it's got dual use in it doesn't
2 mean that it has to be shut down. And then, of
3 course, briefly outline the U.S.

4 policy flowchart, not ion a lot of
5 detail.

6 And here is what I just mentioned
7 before, what is dual use. Of course, just a very
8 simple definition, simpler than the definition
9 that has been up on the slides. But an important
10 point for our broad community is this is both
11 materials and knowledge. The materials actually
12 are well-contained and that's -- we've talked
13 about that, biosafety, biosecurity, containment.
14 All of that is going on. And so that's not the
15 issue that we're adding here. We believe in all
16 that stuff and as has been mentioned, that's a big
17 part of our mitigation of risk for select agents.
18 But is -- the knowledge is the challenge, the
19 communication, what is it we're bringing out and
20 then provide some examples along the way and some
21 examples of management.

22 And it's three o'clock in the afternoon.

1 I thought I'd throw up just some fun slides, a
2 couple of slides here that are more interesting of
3 an example of dual use technology misused many
4 times each month with fatal consequences. So I
5 already told you we've not had any events at Duke
6 that we're aware of and we've not had any events
7 related to this particular technology but this
8 technology does cause events -- that does cause --
9 is misused on a regular basis and --go ahead and
10 throw that up there -- and that is the automobile.
11 Most of you use that today and it's serious. I
12 mean it's sort of a joke at some level but it's
13 not. This is an example and I think it actually
14 does convey, to some degree, both the issue of we
15 need this technology, okay, we need automobiles,
16 we're not going to give those up and yet they can
17 cause tremendous harm, a tragic harm and do on a
18 regular basis. So how -- thank you -- Cheryl's
19 telling me to shut up. Okay, I'm going to move
20 on.

21 So management, the automobile also gives
22 you the management approaches that are available

1 to you. You can see the different ways we manage
2 it and this is not only the infrastructure
3 protecting us around there, but you'll see the
4 redesign there, the open chassis design. If
5 you're in an area where the risk is exceedingly
6 high, you might end up with something like that.
7 And so our researchers might redesign their
8 experiments a little bit.

9 And then our institutional review, have
10 to have the broader training, the 50 slides that
11 Ryan talked about, our committee members need all
12 of that. And here are some of the people on our
13 team that are making this possible for us. And
14 I'll turn it over now to our third speaker.
15 (Inaudible).

16 MS. OLINGER: I only have seven slides,
17 but those of you know me realize I can talk a lot
18 between a slide. Well, first of all, I'd like to
19 thank the organizers to invite me. One thing,
20 coming at 3:00 in the afternoon after all these
21 wonderful talks is that I keep in my mind changing
22 my talk, and that's really a bad thing for me.

1 I'm Patty Olinger. I'm from Emory
2 University. I'm -- people would be disappointed
3 if I didn't talk about virus management. And I
4 think one of the core things, I am the deputy co-
5 convener for the development of -- actually, I am
6 the, yeah, the deputy co-convener for the
7 development ISO certification standards for virus
8 management. The is something that the biosafety
9 world and the biosecurity world has been working
10 on, how long, 15 years, ten years, forever. We've
11 gone from a workshop agreement to a workshop
12 agreement, you know, from a development, and I
13 can't say enough about those who have high-risk
14 programs really need to think about an integrative
15 quality system. Those of you who are healthcare
16 providers understand that quality approach to
17 things in a continual improvement program. We
18 don't have perfect, and we all know that, and that
19 step by step getting there is where we need to be.
20 So Emory University, all investigators, I'm going
21 to keep this really simple. My slides are very
22 simple. All investigators are required to what we

1 call, would they submit a notice of intent.

2 Now, even before that, our, you know,
3 and this gets into the Botox situation, even
4 before that, our labs all are required to be
5 registered with my department or, actually, with
6 our research safety group. And what we do is it's
7 kind of a trick. I don't mean to trick PIs, but
8 it's kind of a trick. It's like, you need to have
9 a sign for your lab. We need to have you register
10 your lab. Are you going to be working with
11 biological agents? If they click yes, it's like,
12 okay, what agents are you going to be working
13 with? And these are as they come into the
14 university. And every year, they're required to
15 update that.

16 So if they click yes, then we ask, are
17 you going to be working with select agents? And
18 then they have to look at that list. And if they
19 have toxins, which one of them is botulism toxin,
20 then I have captured that first risk to a risk
21 assessment or first step to a risk assessment to
22 see whether or not I need to go talk to them and

1 to find out actually what they're working with.
2 So it's not really a trick, but it is a way to
3 register those laboratories. So once they submit
4 that and we start working with them, we talk to
5 them about if you're working with biological
6 agents, you need to submit what we call a notice
7 of intent.

8 We're in the process of going to a
9 completely new electronic system, and what -- and
10 we include everything. We include recombinant DNA
11 and regular infectious agents, including, you
12 know, even chemicals of interest. So they submit
13 that.

14 The notice of intent has a separate
15 section titled "dual use screening," so it has all
16 the questions that people have talked about today
17 in that section. If we get a hit, then it's going
18 to be reviewed even a little bit in more detail by
19 the IBC, and then if it really were to be a dual
20 use experiment, then we would go into more of an
21 in depth review. No protocol for us is reviewed
22 or is approved unless everybody has completed

1 training.

2 That's one of those things that, you
3 know, if it's sort of, we have a few things that
4 have to be completed. Have you done your self-
5 inspection? Have you -- are you up to date on
6 your inspections in your laboratory? And if not,
7 they're not going to get approved. If everybody
8 in that lab has not completed their compliance
9 training, then they're not going to be approved.
10 And so that is, it is an incentive for people to
11 follow through on some of their compliance
12 aspects. Now, the interesting thing is that Emory
13 currently does not have select agents. Now, I do
14 have patients that sometimes have select agents,
15 but we're exempt, okay. But we're not a select
16 agent site. We do have, we are one of the centers
17 of excellence for flu research, and so we do have
18 a collaboration with, for instance, University of
19 Georgia, for our flu work. And they actually, and
20 through a memorandum of understanding, they are
21 legally responsible for the aspects of the select
22 agent portion of that work.

1 We do have low pathogenic influenza
2 strains used for research, and we actually have
3 them come to our IBC and to talk about the types
4 of research that they're doing, because as a lot
5 of people have indicated today, back several years
6 ago when the people first started talking about,
7 you know, dual use research, and we could all come
8 up with lots of different ideas and thoughts
9 about, well, you know, that salmonella over here,
10 if we did this and this and this, that could be --
11 well, what about this over here?

12 You know, and so on one hand, looking at
13 the list of 15 agents and the seven questions that
14 come after that helps us define what it is that
15 the federal government or the NIH wants to look
16 at, but on the other -- but when you look at that
17 ethical aspect of it, we're still going to ask
18 those questions and we're still going to review
19 that research.

20 So training, training, to me, is one of
21 those things that is a complicated thing. You
22 know, many of you know my boss, Dr. David Wynes.

1 David is, you know, out there right now talking a
2 lot about all of the impact that we have from a
3 regulatory standpoint on our researchers and the
4 administrative burden that our researchers have to
5 go -- have to comply with on a day-to-day basis.
6 It's not just biosafety. It's not just
7 biosecurity. And, you know, the more that he has
8 me in working with some of the other committees
9 and some of the other aspects, I'm starting to
10 better understand the impact that we have on the
11 researchers.

12 The interesting thing about that is that
13 the staff, the EHS staff, the biosafety staff, one
14 of the groups that is interacting with the
15 researchers more on a day-to-day basis than, let's
16 say, grants and contracts or conflict of interest.
17 And so we get, even though we may be one of the
18 little boxes of the maybe 100 boxes that they have
19 to go through and click to get their grants
20 approved and reviewed, we get the brunt of a lot
21 of their frustration. And so when we start asking
22 them more and more to do training, what we end up

1 having to do is we're looking at that compliance
2 aspects.

3 From a compliance standpoint, I feel
4 confident that if we came in and were audited, and
5 even if we had select agents, we would put in
6 place the compliance boxes that needed to be
7 checked. But what concerns me more is what really
8 we need to be getting to and where that effective
9 training needs to be. If I looked back at one of
10 the lessons we learned from our experiences with
11 Ebola in treating patients, I could've checked the
12 box for PPE in the past. Yep, here's your gloves.
13 This is what you need to do, and that Tyvek suit
14 goes on here, but you would never -- I mean, for
15 those of you who have gone through the hands-on
16 training, where you put a physician in a Tyvek
17 suit and spray them down with glow germ and then
18 have him take it off, and the first time, it's
19 like, oh, yeah, yeah, yeah, I can do this, not a
20 problem, and then you have the head nurse come
21 through and go, "Yep, you've got Ebola." It's a
22 rude awakening.

1 And so my point on that is, a lot of the
2 training that we do is really just click the box.
3 Okay, I did it. But really where we need to be
4 getting to is what is the effectiveness of the
5 training and what is it that we need to be really
6 focusing on. And it's not just the online
7 training, which is quick, gets them back to the
8 lab, back to the bench quicker. Sometimes it's
9 face to face. Sometimes it's face to face due to
10 the fact that we have language issues that have to
11 be dealt with. And then our monthly newsletters,
12 we send things out in monthly newsletters, but I
13 don't know about you. I get tons of e-mails every
14 single day, and it's getting worse and worse. And
15 we've even gotten to the point where some of our
16 higher risk PIs, Kelpin (phonetic) and her group
17 will actually go and meet with the PIs one on one
18 for, even if it's 30 minutes, to talk about, okay,
19 here's what we need to do. This is what we need,
20 why we need to do it, and how can we be there to
21 help?

22 The other thing that I have to -- I

1 can't stress enough is the support staff. And the
2 support staff is not just your biosafety and
3 research safety staff; it's your IBC. For us,
4 it's the research, health, and safety committees.
5 It's also anybody, like, somebody had talked
6 about, you know, the grants and contracts folks.
7 There's a lot of ongoing education that needs to
8 be done.

9 Our research safety staff and the
10 biosafety staff that's supporting these groups are
11 having to go to maybe the emeritus provider who
12 knows more about this research than anybody else.
13 Maybe they're the world renowned expert, and I'm
14 going to go there saying, "You know what, you've
15 got to do this." And teaching them just those
16 negotiation skills and those communication skills
17 is difficult. And I can't stress enough, and I
18 stress to my staff, it is extremely important the
19 need for collaboration with our research staff, to
20 understand what their needs are. And then as you
21 establish that relationship, you can then better
22 explain to them what our needs are from a

1 compliance standpoint and from a safety
2 standpoint, because, you know, quality research,
3 we talk about that all the time, really, is it
4 done safely? And it needs to -- and if it's done
5 really well, everybody asks now a days, well, how
6 do you know if somebody has, you know, culture of
7 safety? And I've said, you know, I really have
8 never met a CEO that says, "I don't care about
9 safety." And, you know, we really need to shift
10 to more of that.

11 I think one of my esteemed colleagues
12 said that culture of accountability,
13 responsibility, where, you know, we all know our
14 roles and responsibilities, and we're held
15 accountable for those, and part of that is working
16 together in a collaborative team and finding what
17 works and what doesn't. And with training and
18 education, that is extremely important, and I
19 don't believe we're there yet. We'll have our
20 boxes checked by September 24th, I can guarantee
21 you that, just like every single presentation that
22 we heard here today, but I think we have a long

1 way to go from our educational standpoint, and I
2 think we have a long way to go as to actually
3 collaborating and working together. And meetings
4 like this I think are important, because we all
5 learn from one another. Thank you.

6 MR. DOERR: All right. We have a few
7 minutes to answer questions, to ask questions, to
8 start some discussion, to make sure that our web
9 viewers are able to see this and hear this
10 correctly. I would kindly request that you come up
11 to the microphones to answer -- or ask your
12 question. So please go ahead. Anyone have any
13 questions for anyone on the panel here?

14 PUBLIC SPEAKER: Yes, Cheryl. I have a
15 question for Dr. Higgs. I was interested in your
16 appeals process that you mentioned, that the
17 researcher could go back to the IRE after a dual
18 use research, DURC finding for the research
19 project was made. My -- my question is, how is
20 that going to impact your reporting to the funding
21 agency? Are you going to report when you -- when
22 the IRE makes the finding of DURC? Are you going

1 to wait until the appeals process has gone through
2 its machinations?

3 DR. HIGGS: My answer is that I honestly
4 don't know at this point as to how that will be --
5 be managed. We're hoping that this review process
6 will be very rapid. I mean, as a researcher, you
7 know, that is what we expect. It might not be
8 what we always deserve, but it's certainly what we
9 expect. The IBC and the IACUC meet regularly, I
10 think every couple of weeks or something, every
11 month, as needed. So the review process should be
12 very rapid.

13 Certainly, when research applications go
14 through our pre-awards office, there are check
15 boxes regarding, you know, do you have IBC? Do
16 you have IACUC approvals? A lot of us, a lot of
17 people check, you know, pending, and then jump on
18 it straight -- straightaway after that as the
19 decision is made, especially if it's funded,
20 obviously. But that approval process should be
21 implemented very quickly, depending on the review
22 of the risk mitigation plan, and then as

1 necessary, subject matter experts, who we would
2 identify early on would be called in to do that.
3 At what point, you know, I mean, if it was
4 obviously, dual research, dual use research of
5 concern, we would be communicating with the
6 funding body very, very early on.

7 MR. EPSTEIM: Hi, Jerry, Department of
8 Homeland Security. As one of the Feds who was
9 involved in helping put this together, I really --
10 well, I appreciate all of the speakers today, but
11 especially those of you who have brought your
12 experience in having done this for a couple of
13 years, because that's really going to give us a
14 head start in building the case law and trying how
15 this works in the real world.

16 Along those lines, I really wanted to
17 emphasize something that Dr. Frothingham mentioned
18 from the Duke experience, which really struck me,
19 which is that you often couldn't agree on whether
20 something was DURC, and I can imagine lots of
21 arguments and lots of heated discussions by people
22 in the room here on that third question. We know

1 it's an agent. We know it's an experiment. Is it
2 DURC or not? And what you told me is that really
3 may not matter that much, because you ought to
4 talk about what you can do about it, which you may
5 not have any arguments over. Once you come up
6 with mitigation measures, you either have a head
7 start on what you're going to be telling the
8 government if you call DURC or you can do it
9 anyway even if it's not DURC, and that may be the
10 easy part. And once you've done that discussion,
11 it maybe doesn't matter so much if it's DURC or
12 not.

13 Yes, we need to know and it's part of
14 the process, but I would urge people not to get
15 stuck on that question and go on to the next one.
16 And if you can all agree on, well, we don't know
17 if it's DURC or not, but here's things we ought to
18 do, it maybe doesn't matter so much. Yes, you
19 have to pick one, but the important stuff is that
20 you're doing something useful and you will be
21 mitigating risk.

22 And, finally, I really appreciate your

1 chart on showing evidence of success with your
2 zeros and your -- one change to your vertical axis
3 might make that chart a lot different. And if you
4 label your vertical axis not incidents per year,
5 but ability to defend yourself to the public that
6 you thought things through, it's zero before that
7 and it's 100 percent after that. Doesn't mean you
8 thought it through right. Doesn't mean you've got
9 all the answers, but you actually have something
10 you can point to as, hey, we're not blundering
11 through it. We are not one of Susan's cowboys in
12 the Wild West. We're thinking this stuff through,
13 and that's a really important output.

14 DR. FROTHINGHAM: I really appreciate
15 the positive feedback. We've had fun with this.
16 And, you know, these management strategies, I'm
17 not at this level of duteous research of concern
18 with one of these 15 agents. We haven't seen any
19 of that, but it is possible to improve the
20 environment of research at these much lower levels
21 of risk, and some of the strategies that have
22 worked is obviously dual use training, just in

1 general, not related to these 15 agents, just
2 general duties for training, and then asking
3 investigators to think with us about what are some
4 of the outcomes that could pose real risk and how
5 would you find them? How would you identify them?
6 And what are you going to do if you encounter
7 them? So that's basically what we've done so far.

8 MS. DOERR: In the interest of time,
9 we're going to take one more question, but I
10 wanted to let you know, at the next session, it's
11 an open forum. So if you have more questions
12 about training, more questions about education,
13 more questions about outreach, I highly encourage
14 you to ask it during that session as well.

15 MR. DOYLE: Thanks, Cheryl. Hey.
16 Brendon Doyle, EPA. Do any of you do research
17 using one of those select agents or toxins listed
18 in the policy that's funded by a non USG source?

19 DR. FROTHINGHAM: We have some
20 collaborations with Novartis Pharmaceuticals, and
21 so that could potentially involve the high-path
22 avian influenza, yes.

1 MR. DOYLE: And so are you following
2 what we call the reach-through provision of the
3 DURC policy to report that to NIH?

4 DR. FROTHINGHAM: Well, none of it has
5 involved -- it's a collaboration that may involve
6 high-path. We don't have high-path with that
7 collaboration to date, but, yes, certainly, I
8 mean, the principle is something just to mention,
9 Duke receives NIH research funds. Everything we
10 do at Duke is covered by the NIH guidelines on
11 synthetic and recombinant DNA. Similarly, we
12 receive these funds. Everything we do is covered
13 by this policy. We affirm that principle.

14 So, yeah, if the time comes, that's
15 where Ryan comes in. I'm going to call Ryan and
16 say, "What do I do next?" And he's going to --
17 he's going to either tell me or he's going to send
18 me to the right person. And, again, he answers
19 his phone, so he's the one you go to.

20 MR. DOYLE: We've been calling Ryan too.

21 MS. DOERR: We've been calling Ryan too.

22 Thank you, everyone. I really appreciate it, and

1 we're going to move on to the next session. Thank
2 you for the participants.

3 MR. BAYHA: Just before we start this
4 next session, I think the people out at the
5 registration table wanted me to ask, if anybody
6 needed a taxi, to go sign up now, because the only
7 thing harder than getting into this place is
8 getting out of this place. So if you need it, I
9 think it takes about an hour for a taxi to get
10 here. So we end at 4:30, so this should be right
11 around the time if you need it, you can go out and
12 sign up for one at the registration table.

13 MS. COLLER-MONAREZ: Okay. I'm going to
14 invite the federal representatives that we had
15 spoken with earlier to come down and have a seat
16 at the front table. Ryan, that's you too. In
17 case the Feds have forgotten who they are, if you
18 moderated a session or otherwise are a key
19 stakeholder in this. Okay. We are missing Dennis
20 and DHS.

21 Okay. So I will be really honest, we
22 design this last session as sort of our catch-all,

1 knowing that we were going to have a significant
2 discussion across all aspects of this policy, and
3 so we wanted to make sure that if there was
4 anything that had been highlighted during the
5 course of the discussion with the shorter
6 question-and-answer periods after each session,
7 that we would have an opportunity to discuss it.
8 And also, if there were any more general topics
9 that you wanted to bring up, to make sure that we
10 had additional things to take back, that we
11 offered you this forum. So I have been taking
12 notes over the course of the day, and I've
13 highlighted about six different questions, which
14 I'm happy to go into, you know, to pose to make
15 sure that I've captured some of the sentiments
16 accurately. But I think what might be productive
17 is if there are any wrap-up questions or any final
18 thoughts that anyone from this audience wants to -
19 - now that you have, these are the federal
20 representatives, who when you think about who are
21 those Feds who are putting the policy in place?
22 This is them. And so it's an opportunity to reach

1 out to them directly and ask any questions that
2 you want to see addressed.

3 So with the microphones, and we'll go
4 through. So start here.

5 MS. ORR: Hi. I'm Kimberly Orr in the
6 Department of Commerce. This is more like a
7 public service announcement. I've heard people
8 talking about publication restrictions,
9 fundamental research and things, so I just want to
10 remind you guys that there's two Federal Register
11 notices out there that you need to look at. June
12 3rd was for the combined EAR and the ITAR
13 definition reconciliation, and June 17th is
14 Category 14, which involves biological research.
15 You can see both of those Federal Register notices
16 on the Commerce website or at the Federal
17 Register, and I suggest that you read them, and
18 remember that you've still got time to comment,
19 because all this is going to interplay with
20 everything else, so just a PSA.

21 MS. COLLER-MONAREZ: So before you
22 wander off, Kimberly, I did want to pull on that

1 thread. This is one of the areas that I captured
2 is it's still been subject to a great deal of
3 discussion is the post-manuscript development, but
4 pre-publication, and how you might consider
5 ensuring that there are -- the DURC sensitivities
6 are appropriately communicated. And I know that
7 we've had some conversations internal to the
8 government. Did you want to talk a little bit
9 more about the implications, or did any of the
10 panelists want to offer their thoughts regarding,
11 you know, how one might systematically think about
12 that to remain in alignment with the policy?

13 MS. ORR: Really, I don't. I think I
14 would just suggest people look at the -- at the
15 charts, and maybe see if they have some comments,
16 and because it's kind of in flux right now, and
17 that other discussion was high level by lawyers,
18 so I'm not touching it. Sorry.

19 MS. COLLER-MONAREZ: Understood.

20 MS. CARUSO: Good afternoon, everyone.
21 Rebecca Caruso from Harvard. I thought this was
22 really a helpful discussion today, and I took a

1 lot of notes, I think, on what the -- some of the
2 unanswered questions were, but one that I brought
3 from my colleagues at Harvard I wanted to share
4 was basically something we're challenged with,
5 with our IBC at Harvard is when the work isn't on
6 one of the agent lists, it's not one of the 15
7 prescribed agents, we have actually been reviewing
8 a lot of these projects at Harvard for DURC. We
9 had a sub committee that reviewed the DURC policy,
10 and we currently use our IBC for the review of
11 these DURC projects.

12 So my general question is to the panel,
13 would originally harmless bacteria that are then
14 engineered to produce federally regulated
15 substances be considered DURC? For example, a
16 harmless E. coli in three steps could be produced
17 and chemically altered to make a drug. And I
18 would also ask them to consider if quantities
19 should be considered in part of that discussion.

20 MS. COLLER-MONAREZ: That's a great
21 question. It's also one of those that I've
22 captured as we're going to have to address it

1 eventually. Does the panel?

2 MR. BAYHA: I guess I'll bite the
3 bullet. I think the general answer would be, it's
4 not subject to the policy unless it's one of the
5 15 on the list. However, if you do have concerns
6 about projects that involve agents that are not on
7 the list, we would encourage you as an institution
8 to contact your funding officer, your program
9 officer, who's funding that project, to discuss it
10 with them in case they had any recommendations or
11 things that they wanted to get involved with, with
12 that project. It wouldn't be subject to the
13 policy, but the policy does specifically state
14 that it realizes that the universe of DURC is not
15 just those 15.

16 Obviously, there could be many other
17 projects that could potentially be DURC that don't
18 involve those 15. Right now, it is scoped to that
19 limited 15, just so that we can kind of see what
20 the implementation is like, and then discussions
21 can be had in the future about whether any
22 augmentation needs to be made, but right now, it

1 is limited to those 15. But, again, the take-home
2 message is really is, if you have concerns about
3 any project, you should always contact your
4 program officer or your funding agency to open up
5 a dialogue.

6 MR. EPSTEIN: Let me say the same thing,
7 but a little bit differently. I think it would be
8 great for institutions to assume whatever they
9 would like to do in terms of reviewing anything.
10 I think what we heard from the earlier panel was
11 there's great value to doing things at the
12 institutional level, asking these kinds of
13 questions, even if it doesn't formally rise to the
14 level of reporting to the government. But I would
15 also second the suggestion to contact your funding
16 official, not only because there could be some
17 useful advice and interaction, but it's important
18 to us to know the other things outside the formal
19 policy that are raising questions, because we will
20 be looking at the policy, and it is important to
21 know, we will be deluged if we extended it to such
22 and such, or, you know, we put the same process in

1 place for things that were greater than the list
2 you had, and we actually found out we could do it.
3 I mean, both of those answers are extremely
4 important as we evaluate the policy going down the
5 road.

6 So we realize there's got to be some
7 happy balance between predictability and stability
8 and implementing something, and having things
9 locked in that really shouldn't be that way. So
10 we will be looking at this thing, the change,
11 hope, you know, not before we get the first one in
12 place, but at some point down the road, we'll be
13 trying to make sure we've got it right, and that
14 kind of feedback will be very important as we
15 evaluate that.

16 UNIDENTIFIED PANELIST: This goes along
17 with the whole aspect of both the 2012 and the
18 2014 policy, and that's a culture of
19 responsibility, and I would -- I would suggest to
20 you that I think it's excellent that if it's not
21 on the list, you still should be looking at these
22 things, because they have great potential for

1 doing harm.

2 DR. EDWIN: One of the examples that
3 comes to my mind is monitoring synthetic genes.
4 That's something we started to track, especially
5 the ones that have -- that are from the select
6 agents or these 15 agents. I think it's just
7 prudent to be able to, whenever you see something
8 that has the potential, you better have a plan in
9 mind.

10 MR. KOZLOVAC: Yeah, I think it's
11 basically just a good part of being, creating a
12 culture of responsibility to look at those things.
13 I think it's also good biosafety and responsible
14 research, because we're not going to capture
15 everything on -- on a single list. And let's face
16 it, lists will change more than likely over time.
17 So I think, you know, to be part of that robust
18 discussion as we go forward is -- is a responsible
19 step for the microbiology community, science
20 community to take. Thank you.

21 PUBLIC SPEAKER: I just had a few
22 questions, some issues that I was confused about,

1 and I was hoping there could be a little
2 clarification. The first one is, citing or
3 referencing previously published material that
4 could now be considered dual use research of
5 concern, in my mind, I'm thinking of information,
6 I'd say, passage through an animal can increase
7 virulence, or I was actually talking with someone
8 else, and they mentioned that publishing a new
9 piece of information on a previous project could
10 complete a picture, which would allow someone to -
11 - to -- I'm sorry, to complete the picture and
12 allow them to then apply that research in a
13 negative way. And I was wondering if there was
14 any guidance on how to treat things that have
15 already been brought to the public.

16 MS. COLLIER-MONAREZ: That's a great
17 question. I mean, it's sort of like the DURC by
18 compilation issue, and I don't know if there's any
19 thoughts on that. It's -- we have not -- we had
20 not, as far as I know, in the interagency, talked
21 about is there any mechanism to evaluate if -- if
22 three out of the four pieces of the puzzle have

1 already been published, and this fourth piece is
2 the key that then allows someone to use it in an
3 immediately harmful fashion, what would one do
4 about it?

5 MR. EPSTEIN: I think in your case, the
6 fourth piece that's actually new would be going
7 through the policy, qualified, evaluated in the
8 context of the other three. If your question is,
9 are there things out there in a published
10 literature we are going to pretend aren't there
11 because we hadn't had this in place? The answer
12 is no. I mean, whatever is done is done, and we
13 would not be -- it's not like it's Nazi research,
14 which is unethical to use. So you wouldn't be
15 retroactively trying to apply this on previously
16 published work, but new research which otherwise
17 is in the realm of the policy, part of the risk
18 and benefit assessment is how -- what additional
19 information does this add based on the sum total
20 of the previously unaware.

21 PUBLIC SPEAKER: Okay. And I was
22 especially interested in the context of, well, I'm

1 building on previous research. I'm published.
2 I'm still doing these procedures. I need to have
3 a virulent strain to do -- to study a particular
4 disease. So, you know, it's new research in that
5 it's part of the body work I'm doing, but I'm
6 still referencing something that already exists.
7 Then am I going to redact my materials and
8 methods? Am I going to reference something older?
9 Am I going to gloss over it? I mean, I'm just
10 kind of curious about how you -- how you see this,
11 if you see this as an issue, and if not.

12 MR. BAYHA: Well, I think, just in
13 general, I think a common theme throughout the day
14 has been kind of this palpable fear about
15 redaction, about prescribed communication, about
16 classification. I think it's always been said
17 from the beginning that the default position is
18 always free and full open publication. That's
19 always going to be the government's default
20 position, and that in very limited, rare
21 circumstances, it might be necessary to do those
22 type of redactions or classifications or things

1 like that, but that shouldn't even be the normal
2 when it comes to DURC. Because I think there is
3 this task to try not to stigmatize this research
4 to the point where if you, like several people
5 have said, where people just don't want to do it
6 anymore, because they feel, well, if it's
7 potentially DURC, I'm not going to be able to
8 publish it. Why even do it? I think it has to be
9 looked at through, I think from the very
10 beginning, we said that only a small subset of
11 projects would actually be determined to be DURC,
12 and I think through the experience of some of the
13 people at the institutions, I think they have
14 shown that a very small amount would actually get
15 down to meeting the definition. But of that small
16 subset, I think it would be a very rare
17 circumstance where redaction or any other type of
18 those efforts would actually need to be done. The
19 position is always publication.

20 MR. EPSTEIN: I'm sorry to keep getting
21 to you. One more second. But on this elephant in
22 the room of redaction, the whole way in which this

1 policy gets at things that might not appear is
2 through the researchers and the institutions
3 deciding on the basis of conversations and
4 evaluations, you know, I don't want to publish
5 that. In many cases, the government has no legal
6 authority to come in and tell you not to. That's
7 not true for contract funded research, where I
8 think there is more legal authority on the part of
9 the government, but research funded by a grant, I
10 don't believe there's a legal mechanism to force a
11 researcher not to say something. But the hope is,
12 in that rather instance where the researcher and
13 the institution and the funder jointly decide I'm
14 not holding it as a requirement, but as a hope, if
15 everybody decides the world is more dangerous in
16 saying something than not saying something, it
17 would be the authors that made that decision, so
18 it's not like the hand of the government is going
19 to be coming, clamping down.

20 MR. BAYHA: Yeah, that's a good point.
21 And I think that's where really highlighting the
22 benefits of the research really comes into play.

1 Because like Joe said earlier, if you can't very
2 easily kind of show where the benefits outweigh
3 the risks, that's a different conversation. But
4 if you can really articulate the benefits of that
5 research, it might obviate the issue.

6 PUBLIC SPEAKER: Thank you, appreciate
7 that. And then my second question -- and I
8 apologize for monopolizing. I'll speed it up. If
9 you're collaborating with a lab that is outside
10 the U.S. and not dependent on your same funding
11 source, would -- would a PI's name on a paper that
12 is -- that is seen as dual use, would that cause
13 trouble? Would they be seen as non-compliant in
14 that scenario? And that's my last question.

15 MS. COLLIER-MONAREZ: So just to clarify,
16 so maybe you're not off the hook yet, so we have
17 transnational collaborations on a routine basis.
18 I think that's fantastic and should be fostered.
19 So was the question, if the U.S. lab is under
20 compliance for this policy, but work is being
21 done, say, in France, for example, but they're not
22 necessarily, you don't know or they haven't

1 implemented an IRE in that type of policy, are
2 there any vulnerabilities with that relationship
3 or that work or that lab?

4 PUBLIC SPEAKER: Yeah. Would I be held
5 responsible if I'm on a paper that is considered
6 dual use research of concern, but it's been -- the
7 first author and the hosting institution is also,
8 and they're not under that regulation.

9 MR. BAYHA: I don't really think it's an
10 issue with the paper. It's more the funding,
11 where if the reach-through provision for
12 international research was that a federal agency
13 was providing the funding to that international
14 entity to do that research and it did involve one
15 of the 15, it would be subject to the policy since
16 it involved federal funds. Just that project
17 would be covered, though. It wouldn't be the
18 reach-through where the entire international
19 organization would be covered, so I don't really
20 think the publication issue about whether you just
21 happened to have your name on a paper with someone
22 that's conducting DURC. It's really more, I

1 think, of a case of where the funding is coming
2 from for the project. So if no USG funding is
3 being given to that foreign institution and they
4 publish an article and you just happen to have
5 your name on it as well, as long as you in the
6 U.S. were adhering to the policy, I don't really
7 see any issue with the publication being non-
8 compliant with the policy. It's really immaterial
9 to it.

10 MS. BOHN: Hi. Sherry Bon, University
11 of Maryland. First of all, I thought this was a
12 great symposium, chance to talk, chance to hear
13 everybody's perspective. In listening to this
14 today, I've heard a couple things, and they're not
15 exactly questions, but things to throw out there.
16 Maybe people want to talk after me about this.
17 From more of the regulatory side, I'm hearing
18 things like form your committee and have your
19 ictor (phonetic) and all that kind of great stuff.
20 And then as I listen to my academic, more academic
21 colleagues' presentations, that they're using the
22 IBC. They have the IBC. The ictor is the BSO,

1 who already has three other jobs as well. We're -
2 - I don't know if it's just me, but I'm having a
3 hard time getting people on the IBC. So if I have
4 to stand up a completely separate committee,
5 separate people, I have a limited -- well, it's a
6 huge university.

7 I have a limited number of resources of
8 people that are willing and able and competent to
9 give their time to these kind of issues when it's,
10 you know, unfunded. Sometimes we get lunch. So
11 that's actually kind of tough to manage. And as
12 we've worked through this year how we're going to
13 implement and how we're going to change how we're
14 implementing and how we're going to grow, that's
15 actually one of my biggest gut research wrenching
16 things is, who am I going to get to do this? It's
17 really hard. And then on that theme as well that
18 I struggle with on the IBC route, and I can see it
19 struggling here, is we've talked about doing
20 things at implementation or pre- implementation
21 and stuff. And one of the things with dual use is
22 that things can come up during the course of an

1 experiment, the unprepared for, the un-thought of
2 or whatever, and we approve things for three or
3 four years. And, yes, they're supposed to come
4 tell us, but I'm struggling with post-approval
5 monitoring type activity and really getting in
6 there and finding good ways to do that. And it
7 concerns me with IBC and it concerns me with DURC,
8 and I just think that's something that we can, we
9 might talk about, or that if people have good ways
10 that are doing it, this might be a way to share in
11 double resources or whatever. Because it's just
12 something that I'm really struggling with.

13 MR. BAYHA: Yeah, so I think from the
14 lens of the IBC, Katherine Harris and I, we do
15 site visits to IBCs nationally to assess their
16 program. So far, we've done about 112 sites. It
17 is a problem with post-approval monitoring. We do
18 see the three/five- year model, where, you know,
19 the researchers approve for three or five years,
20 and the NIH guidelines do have this notion that
21 you periodically have to assess the research that
22 you've approved. We find it's better if the

1 actual annual approval is initiated by the IBC, or
2 maybe in this case the IRE, where it actually
3 comes from them and you have to actually
4 proactively go out to the investigator and say,
5 has anything changed in your protocol, as opposed
6 to putting it on the PI for them to come to you
7 and say, "By the way, something has changed."

8 The rationale for that being is
9 that if you use, you know, lab research as an
10 example, if someone has an epiphany in the middle
11 of the night about a great new thing to add to
12 their experiment, their first thought is usually
13 not let me do this great thing after I contact my
14 IBC and my IRE and my IACUC and my, you know, my
15 IRB if necessary. So generally having it driven
16 from the IBC side to have an interval where you're
17 actually going out and polling the investigators
18 and saying, is anything changing, rather than
19 relying on them coming to you, is a system we
20 usually recommend. In terms of the resources for
21 the IBC and the IRE, I definitely sympathize with
22 that. I mean, from our site visits, we see that

1 getting quality people to serve on these
2 committees can be difficult. I think a lot of
3 institutions are going to utilize their IBC as
4 their IRE. I'm not really quite sure how to
5 handle or answer the resource issue or the drain
6 that will actually put on them, but I would assume
7 at some point, you would also need to augment that
8 IRE with other expertise aside from who's on the
9 IBC.

10 So, really, it would be more interesting
11 probably to hear from people in the same situation
12 how you're dealing with a circumstance like that,
13 where your IBC is your IRE, and there might be a
14 certain degree of, you know, overload on the IBC
15 from taking on this new task, and how your
16 institutions are actually handling that, and how
17 you're actually acknowledging that service so that
18 they don't get burnt out on it. So I think I
19 would be interested to hear from the institutions
20 and the audience how they're doing.

21 MS. COLLER-MONAREZ: Yeah, I would too.
22 Thank you.

1 DR. EDWIN: One suggestion that I have
2 is to review the progress reports, you know, just
3 as you have access to the main research proposal.
4 You know, if we delicately look at the progress
5 reports, at least that's one part of the equation
6 that will help if there is a change in the
7 procedure.

8 MR. BAYHA: And I guess one other aspect
9 is, you could also kind of multi task your chores
10 here. You can kind of perform a lot of these
11 reviews with talking to the PI about whether
12 anything has changed during lab inspections. It
13 doesn't necessarily have to be a secondary process
14 where, okay, it's January 1st, time to send out
15 the notice. It could just be every time you're in
16 the lab, you just check in and say, "Hey, just,
17 you know, checking in. This is what we have down
18 for you. Is this still what you're doing, or any
19 plans for changing in the future?" And kind of
20 having that personal level of service is a good
21 way to get compliant as well. I know it's tough
22 if you have a lot of labs and not a lot of people.

1 You can do it, though, obviously, but if you
2 can't, you know, there are definitely other
3 mechanisms.

4 MR. KOZLOVAC: One of the things that I
5 found useful when I was in academia, running
6 multiple IBCs, what we would do is each registered
7 project, we would actually send on an annual
8 basis, or if it was a higher risk, maybe even
9 somewhat more frequently, but minimum on an annual
10 basis, it would just be a one- pager saying, "This
11 is what you're registered for. Here are the folks
12 that are in here. Here are your spaces. Has
13 anything changed? Has anything changed risk?"

14 And if you do that, it's really easy to
15 get a lot of good feedback from that. But also,
16 when you are out in the lab, use those teaching
17 moments and really reinforce, you know, culture of
18 responsibility. If you're doing something to
19 change risk, be it from a biosafety standpoint or
20 from a DURC standpoint, that you're reporting that
21 as soon as possible.

22 DR. EDWIN: One other thing that we have

1 done is to attack this as a piecemeal, and ask the
2 research proposals are being submitted, and sort
3 of making it a quarterly event or a semi-annual
4 event or an annual event. Then it becomes really
5 monumental. As soon as the research proposals are
6 submitted, we attack them on a piecemeal basis.

7 MR. BAYHA: One other thought I actually
8 had was involving, you know, if you have a
9 specific college or part of the university where
10 you know a lot of this research is taking place,
11 it's going to be very important to engage the
12 leadership of that college, the Deans and the
13 people that are in charge of those researchers,
14 because we've also found that a lot of times if
15 someone from, you know, EH&S, or maybe a biosafety
16 officer or someone else send an e-mail, it might
17 get disregarded. Investigators many times won't
18 disregard a direct e-mail from the Dean or, you
19 know, someone that's in their structure in the
20 facility. So that could be a way too to leverage
21 that relationship with the facility to ensure that
22 the PIs are compliant.

1 PUBLIC SPEAKER: My name is Patrice
2 Binder (phonetic. I come from France, and I am
3 advisor for security of defense of the president
4 of International Reserve Center for Medicine in
5 France. First of all, I would like to thank the
6 organizer to have invited French at this
7 interesting and very fruitful meeting on the
8 direct reserve concern. Of course, we have no
9 organization like yours, and but we have some
10 information. So I would like to speak about the
11 question of regulation for reserve center.

12 I think we have exactly the same view
13 and the same approach of views, not in the
14 organization, but in our concept. And if we --
15 and if you have a question about corporation on a
16 special drug that is concerned, it's always -- it
17 could be possible to discuss about the question,
18 and I am sure that it was able to tie and to find
19 a convergence, very happy convergence, not only
20 about the founding, but also about publication and
21 the follow-up of the research. In France, we have
22 not very (inaudible) on this question, but we have

1 discussion on that. And, in fact, we have one
2 major experience with special institute on this --
3 on this question, because three years ago, we were
4 contacted by the (inaudible) community to organize
5 a follow-up of a drug use concern of large
6 (inaudible), including research on influenza,
7 including research on -- I don't remember the
8 name. And in the research, we -- 17 labs from
9 different country in France. And each year, we
10 have a review for (inaudible), not only for animal
11 research or human research, but also on dual use.
12 And we have had reflections years ago on a
13 questionnaire, and the questionnaire is
14 particularly your questionnaire. It's not seven
15 questions; it's nine questions, but it's
16 particular, exactly the same questions.

17 At the beginning of the research, the
18 researcher was not very familiar with this
19 questionnaire, and we have had a lot of question
20 what is your question? Why do you have this
21 question? We don't make research on values; we
22 make research on epidemiological infectious

1 disease, and we have explained the reason of this
2 questionnaire, and the stuff of labs, organized
3 reflection on this questionnaire. And after three
4 years, we have had good comprehensive response at
5 this questionnaire, and the organization of this
6 process was a good example of education, and
7 response of research in this question, and I
8 appreciate your reflection on the process of the
9 data, the researcher to explain what is the drug
10 research, what are the program's responsibility in
11 this question, and I think we can use your
12 reflection and your advance on this question to --
13 for our experience. Thank you.

14 MS. COLLER-MONAREZ: Thank you. Yeah,
15 so, yeah, again, I want to compliment everything,
16 you know, everything that we talked about and we
17 saw when we came over to Paris last year. It's so
18 clear that we are of like mind in talking about
19 the risks posed by dual use research of concern,
20 and it's not -- I mean, it's a two-way learning
21 experience. And so as you are working with your
22 labs and those, the PIs there, this is the type of

1 international dialogue that we need to maintain,
2 and Ryan highlighted some of the areas that are
3 being worked on in the international community.
4 But it is important that we have a global
5 dialogue.

6 I don't know, Ryan, if you wanted to say
7 anything more about the international coordination
8 on this.

9 MR. BAYHA: Just like Susan was saying,
10 that we are of a like mind, and that there's
11 cooperation involved, and the international
12 engagement continues, because it is a global
13 issue; it's not just an issue that stops at our
14 border. So, yeah, I would concur with everything
15 Susan said.

16 MS. COLLER-MONAREZ: We actually have
17 Meg Flanagan from State Department. I don't know,
18 Meg, if you wanted to say a few words about the
19 international coordination.

20 MS. FLANAGAN: My name is Meg Flanagan.
21 I work in the office within the State Department
22 that leads U.S.'s participation in the biological

1 weapons convention, and that's a forum in which
2 dual use issues have been talked about for some
3 time, but definitely with an increasing frequency
4 over the past handful of years, I'd say. And
5 state's parties to that treaty are increasingly
6 interested in implementing some sort of national
7 measures to address dual use research issues. And
8 based on what we've seen so far, different
9 countries, of course, are using different measures
10 to achieve that aim, so there's really sort of a
11 wide variety.

12 There's the way that we do it, which
13 you've heard about a lot today, and there's also
14 the example of Germany, for example, that is using
15 some of its current regulations with regard to how
16 they regulate genetically modified organisms,
17 GMOs, to try to address some dual use research
18 issues. So it seems as though we necessarily all
19 use the mechanisms that are readily available to
20 us, and I think that's pretty reasonable. But at
21 least for now, the landscape of what different
22 countries are doing is pretty varied. And I'd say

1 it's an exciting time, because it's still early in
2 the process and we're still on a position where we
3 can influence one another and share our good ideas
4 so long as we communicate as we have today with
5 our French guests.

6 MS. COLLER-MONAREZ: Thanks, Meg. Okay.

7 MR. EVANS: Nicholas Evans, University
8 of Pennsylvania. I have two clarifying questions.
9 Susan, should I pitch them at the same time or --

10 MS. COLLER-MONAREZ: Yeah.

11 MR. EVANS: -- one after the other? So
12 the first relates, and I think both of these
13 relate to the agency's relationships with the IREs
14 and the institution's. The first relates to
15 standards of evidence. Now, it seems clear that
16 not every dual use research of concern assessment
17 is going to look like the current deliberative
18 process that's going on, and people aren't going
19 to go out and hire Griffin Scientific every time
20 they need to find out if something's DURC or not,
21 but what types of evidence are we looking for
22 regarding the benefits and the risks posed by

1 these individual cases of concern as they come up
2 in institutions, and what is desirable as this
3 policy is implemented?

4 The second question has to do with
5 compliance. It's been a bit of a public relations
6 disaster for biosafety in the last year. As this
7 process moves forward, what are we looking for in
8 terms of compliance with this new policy? What
9 does non-compliance look like, and what are the
10 consequences for non-compliance in the policy?

11 MS. COLLER-MONAREZ: Great questions.
12 Does anybody on the panel want to address any of
13 those?

14 MR. BAYHA: I think I'll take the non-
15 compliance question. So for not -- it's a good
16 question. Like I said to Joe, it's a relationship
17 of trust that when you sign on to accept USG
18 funds, you sign on to agree to certain terms and
19 conditions of that funding, so you'll agree, for
20 NIH example, you will agree to comply with the
21 terms of the DURC policy. If non-compliance comes
22 up, like I said, it's hard to give an answer right

1 now about how we'll deal with non-compliance,
2 because the world of non-compliance can be very
3 varied. It can be from someone just forgot to
4 submit notification within 30 days to someone
5 doesn't have an IRE, so it's really going to be
6 dependent on the type of non-compliance. But like
7 I said earlier, our effort is or our default
8 expectation or our default position is usually
9 that the non-compliance would result from either a
10 misunderstanding of their obligations or a lack of
11 clarity on how to actually do what's requested of
12 them. So we'll work with them from an outreach
13 perspective to rectify that non-compliance so that
14 it's not an issue in the future. Like all -- like
15 the terms and conditions of all grants, there are
16 penalties where if there is willful non-
17 compliance, the penalties can lead to the loss of
18 funds, the limitation of funds, or the disbarment
19 or you can't even apply for funding, so that is an
20 option we would have as well if there was willful
21 disregard of the policy. But in terms of what
22 specific compliance actions would be taken, it

1 would really have to be tailored specifically to
2 what the non-compliance is.

3 DR. EDWIN: I want to remind them this,
4 that some of the experiments will also come under
5 the restricted experiments of the selection
6 regulations, so that part of it is not going to be
7 negotiable.

8 MS. COLLIER-MONAREZ: So while we have a
9 lull in microphones, one of the things that I
10 think we've all touched on, Jerry just
11 highlighted, and I know I've said before is, you
12 know, this is the first opportunity to implement
13 this policy. It's a new policy, and we are
14 anticipating that it will have some growing pains.
15 And what we need to be open to as the government,
16 and I think we are, and you need to be thinking
17 about from the institutional perspective is
18 helping us with data. So we've talked about two
19 essential data points, three essential data
20 points, and the first is the cost associated with
21 implementing the policy. It's unclear, any policy
22 when implemented seems like it's going to be

1 resource intensive.

2 I was just talking to Rebecca Moore
3 about this. Is this policy going to be so
4 different than the IBCs and everything else that's
5 in place right now, that it is really going to
6 demand additional resources above and beyond
7 what's already available for safety, security, and
8 other aspects of this? And if that is the case,
9 it's really important to be able to with empirical
10 say this is what it costs us to implement it.

11 As we continue to evolve our thinking in
12 the DURC policies and the gain of function
13 policies, thinking about better ways to streamline
14 implementation if there is, indeed, a critical
15 cost associated with it, can be something that is
16 incorporated. I mean, obviously, we are trying
17 our best to make sure that these policies are not
18 overly burdensome for the purpose that they are
19 going to serve, but the empirical evidence
20 associated with the cost I think is critical.
21 We've also talked about PIs being compelled to not
22 pursue research or having scientists or students

1 going into a field because of the DURC policy.

2 Again, it's one of those issues where
3 it's one thing to have anecdotal evidence. You
4 know, there's a PI down the hall from me, and his
5 student was thinking about going to work in an
6 influenza lab, but then he saw that there was a
7 DURC policy and decided that that wasn't going to
8 take place. It's one thing to sort of highlight
9 that as an anecdote. It's an entirely different
10 thing if there's a quantifiable decrease in the
11 number of students that are actually being
12 recruited to these labs.

13 It's a very, it's a key data point. You
14 know, if that bears out, again, it's on -- nobody
15 who's sitting here on this panel or who's in my
16 office at the White House wants to be an
17 impediment to science. Again, it's just mesh work
18 that we're trying to reduce the potential risk
19 posed by this. And so being able to go from, you
20 know, the guy down the hall who didn't get a
21 student, to actually understanding that we've seen
22 a decrease of now 150 students influenza labs in

1 the past two years, which coincided with the
2 implementation of this DURC policy, that's a big
3 deal.

4 And then the last data point that I
5 think is going to be absolutely critical is, as
6 Jerry highlighted, the case law. All right. So
7 we are going in this, and we don't have -- you
8 know, I love the slide where there was no change,
9 right? I mean, you put a policy in place and
10 nothing happened. You know, Ryan highlighted this
11 as well is, we don't know, you know, if there's
12 non-compliance, because we haven't had an issue of
13 non-compliance because the policy hasn't been put
14 in place. We also don't know how each institution
15 -- we talked about the various points of
16 subjectivity across the policy. We don't know how
17 institutions are going to deal with that, with the
18 subjective third pillar of, you know, is it DURC
19 or not? We don't know how many DURC research
20 cases each institution will identify once they put
21 this policy in place. All of that, and we won't
22 know what the IRE's deliberation process was.

1 I mean, these are all key pieces of, you
2 know, information that as we continue to evolve
3 this policy, we need to have, and we need to
4 develop some sort of systematic fashion to be able
5 to get that in, so that a year from now or two
6 years from now when this policy is being updated
7 or we're integrating the gain of function policy
8 into this, and somehow, you know, creating, you
9 know, a more comprehensive policy, that if there
10 is something that we need to change to make it
11 better, better for the researchers, better for the
12 government, all those sorts of things, we need to
13 have that evidence base and that case law of
14 highway IRE evaluated DURC, how many were coming
15 from small institutions, public land grant
16 universities, any of those sorts of things, so
17 that we actually have something to reflect on,
18 more than just the sort of more anecdotal
19 discussions that are very informative, but they
20 don't -- they're not -- they're less persuasive in
21 terms of modifying a policy than if we have
22 something more systematic.

1 Did anybody else want to make any
2 comments on that?

3 MR. BAYHA: Yeah. I would just echo
4 those sentiments, and I would say it's very
5 important just to hear the actual feedback from
6 when you're implementing this policy. We want to
7 hear what the stumbling blocks are. We want to
8 hear what the challenges are so that we can
9 potentially address these. Like Rich brought up
10 with a great point with the Botox thing, you know,
11 it might not be a problem for his institution
12 because they already have an IRE stood up, but if
13 this is the only thing that brings you under the
14 policy, that might be a little burdensome. So we
15 do want to hear the implementation challenges, so
16 that, like Susan said, when the policy or if the
17 policy needs to be revised, we're actually doing
18 it based on real experience and not just kind of
19 doing it because we think it needs to be done. So
20 your feedback on this is extremely valuable.

21 MS. COLLIER-MONAREZ: Okay. So we are at
22 the end of our question/answer session. So if

1 there -- are there any other final questions for
2 our federal panel members?

3 We've exhausted you, your imaginations.
4 So thank you, all of you. So I am slated to give
5 a wrap- up speech. You know, we -- I think this
6 has been an incredible conversation. I don't want
7 to spend a great deal more time rehashing the many
8 great comments that we heard over the course of
9 the day or the presentations that we've heard from
10 our panelists. So I don't -- I don't have
11 anything that -- I want to make sure that you walk
12 away that you already don't have in terms of the
13 dialogue, other than just that final point, which
14 is this is a partnership. The government and life
15 sciences researchers, we're all in this together
16 and we have the same goal, and so we need to have
17 meetings like this going forward, and we should
18 try to do this on a periodic basis so that we do
19 have an opportunity to update these policies as
20 they are appropriate in terms of both the
21 evolution and the government structures and the
22 evolution of life sciences, so make sure that

1 we're moving all these policies together.

2 So you have been a tremendous audience.

3 Thank you so much for taking the time out of all

4 your busy schedules to participate in this,

5 helping us think about what we're doing. And with

6 that, I will give you an extra 15 minutes to go

7 out and wait in line for your taxi that Ryan has

8 promised he's called all of you, and we look

9 forward to additional feedback. Again, feel free

10 to use that durc.ostp.gov. So thank you very much.

11 (Whereupon, at 4:00 p.m, the

12 aforementioned meeting was adjourned.)

13

14

15

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CERTIFICATE OF NOTARY PUBLIC

I, MICHAEL FARKAS, the officer before whom the foregoing hearing was taken, do hereby certify that the testimony appearing in the foregoing hearing was taken by me in audio recording and thereafter reduced to typewriting under my supervision; that said transcription is a true record of the proceedings; that I am neither counsel for, related to, nor employed by any of the parties to the action in which this deposition was taken; and, further, that I am not a relative or employee of any counsel or attorney employed by the parties hereto, nor financially or otherwise interested in the outcome of this action.

/s/ Michael Farkas

MICHAEL FARKAS
Notary Public in and for the
STATE OF MARYLAND

1 CERTIFICATE OF TRANSCRIPTION

2

3

4 I, LUCY T. TURNBULL, hereby certify that I am not
5 the Court Reporter who reported the following
6 proceeding and that I have typed the transcript of
7 this proceeding using the Court Reporter's notes
8 and recordings.

9 The foregoing/attached transcript is a true,
10 correct, and complete transcription of said
11 proceeding.

12

13 August 6, 2015

14

15

16

/s/ Lucy T. Turnbull

17

LUCY T. TURNBULL, CET 743
Transcriptionist

18

19

20

21

22

Capital Reporting Company
 DURC Workshop 07-22-2015

Page 1

<hr/> \$ \$650 115:1 <hr/> 0 <hr/> 0:54:55(Inaudible) 54:4 <hr/> 1 1 189:21 1,200 121:12 133:7 1:04 153:4 1:33:27 86:1 10 1:12 98:17 128:11 150:12 151:8 222:6 233:2 241:19 10:32 112:18 10:40 92:7 10:57 112:19 100 147:2 243:20 255:18 264:7 108 114:21 115:4 11 92:8 11:15 112:16 11:47 153:3 112 2:13,15,16 285:16 113 2:19 119 2:21 12 2:7,8 92:19 93:8 242:2 125 3:3 127 121:15,18 13 98:20 99:9 214:7 13,000 202:18 14 71:14 116:10 121:3 269:14 15 27:12 28:3 29:2 36:19 47:18 50:7	56:4,6,9 62:8 63:6 71:1 72:14 73:1 76:3 77:13 85:11 87:7 101:4 111:18,21 117:1,9 120:7,8,12 123:4,11,20,22 124:8 130:1 131:11 138:20 149:1 150:11 189:8,22 192:4,9,11 201:7 209:10 212:6,12 231:20 242:16 250:10 254:13 264:18 265:1 271:6 272:5,15,18,19 273:1 275:6 282:15 306:6 150 121:17 301:22 153 3:5,7,9 154 3:11 156 3:14 167 3:16 17 292:8 172 214:10 176 3:18 17th 269:13 1918 19:16 195 3:22 4:4 1980 17:18 1st 288:14 <hr/> 2 <hr/> 2:16 224:2 2:32 224:3 2:32:03(Inaudible) 135:10 2:45 223:21,22 20 153:17 172:4 200 196:19	2001 18:11 2002 19:6 2003 237:7 2005 19:15,16 237:10 2008 201:22 202:7 2009 120:16 133:5 200-plus 196:19 2010 219:19 2011 20:9,20 113:8 2011-2012 183:15 2012 21:7,10 25:5,21 26:1 89:13 120:21 121:1,2 132:14 176:20 181:20 221:3 231:13 274:17 2013 219:22 2014 213:10 274:18 2015 1:8 17:18 213:10 308:13 20-minute 206:15 22 1:8 224 4:6,7,8 225 4:11 236 4:14 24 213:10 249 4:16 24th 89:18 226:15 259:20 25 157:15 223:21 226:3 236:8 260 4:19 29 89:12 29th 21:9 231:13 <hr/> 3 <hr/> 3 48:20,21 104:13	121:19 224:1 3,000 204:7 3:00 249:20 30 77:18 83:16,18 87:7 112:7 125:2 156:9 257:18 298:4 304 5:3 306 5:5 3-1/2 198:16 35 212:10 3rd 269:12 <hr/> 4 <hr/> 4:00 1:9 306:11 4:30 267:10 41 228:12 43 2:10,11 <hr/> 5 <hr/> 5 115:3 5,000 203:16,18 204:7 50 131:4 205:10 244:8 249:10 500 121:11,15 133:7 <hr/> 6 <hr/> 6 2:3,4 308:13 60 83:20 87:8 112:7 125:2 <hr/> 7 <hr/> 7 36:20 76:4 77:15 85:12 115:3 123:20,21 130:1 743 308:17 <hr/> 8 <hr/> 8 120:11 222:6 8:59 1:9
---	--	--	---

<p>85 214:8</p> <hr/> <p style="text-align: center;">9</p> <hr/> <p>9 114:22</p> <p>90 79:6 125:2</p> <p>9000 1:14</p> <p>95 115:2</p> <p>99.9 23:21</p> <hr/> <p style="text-align: center;">A</p> <hr/> <p>a.m 112:19,20 153:4</p> <p>A/Vietnam 179:19</p> <p>AAU 218:8</p> <p>ABC 238:3</p> <p>ability 65:7,14 69:9 73:7 116:18 178:16 264:5</p> <p>able 18:20 19:7 33:21 35:17 36:14 90:17 102:1 116:8,22 140:15,18 141:7 178:15 185:20 191:4 235:5 240:20 241:3 260:9 275:7 279:7 284:8 291:18 300:9 301:19 303:4</p> <p>ABSA 218:7</p> <p>ABSL3 164:11</p> <p>absolutely 36:14 54:16 57:3 67:9,10 78:20 83:14 94:2,5,21 97:3,10 103:8 174:14 175:14 184:11 302:5</p> <p>academia 15:12,13 28:20 289:5</p> <p>academic 283:20</p>	<p>Academies 25:15</p> <p>accept 297:17</p> <p>acceptance 188:18</p> <p>accepting 184:15</p> <p>access 94:15 96:5 182:16 288:3</p> <p>accidentally 230:5</p> <p>accommodate 27:21</p> <p>accompany 217:15</p> <p>accomplish 198:4</p> <p>accomplished 219:8</p> <p>according 58:20 102:2</p> <p>account 93:1,17 185:21</p> <p>accountability 259:12</p> <p>accountable 259:15</p> <p>accurate 137:13</p> <p>accurately 268:16</p> <p>aces 43:18</p> <p>achieve 198:4 205:18 295:10</p> <p>acid 122:16</p> <p>acknowledge 99:15</p> <p>acknowledges 212:12</p> <p>acknowledging 287:17</p> <p>acquire 86:5</p> <p>across 13:11 145:5 191:7 237:15 268:2 302:16</p> <p>action 42:11 307:10,14</p> <p>actions 298:22</p>	<p>activate 178:7</p> <p>active 38:11 121:13,14 133:22 220:3</p> <p>actively 9:15 114:5</p> <p>activities 3:21 49:7 86:12 143:22 205:6 207:1,6 219:1,5 220:5 228:18</p> <p>activity 85:6 142:21 157:9 204:2 234:22 285:5</p> <p>actual 11:5 19:9 110:21 149:9 286:1 304:5</p> <p>actually 10:1 13:2 19:10,20 41:6 43:8 50:14 53:22 61:1 64:21 67:20 70:13 103:2 107:10 108:13 109:7,21 110:6,7 138:20 139:16 140:20,21 147:13,19,21 148:4,8 151:16 153:22 161:20 167:19 169:12 170:14 173:14 174:19 176:19 177:22 180:2 183:18 184:2 188:6 189:5,8 196:21 197:5,6 200:7 201:1,15 204:15 208:16 214:17 217:16,18,20 218:13 221:7,21 223:5,15 230:13 234:1 243:6,22 247:11 248:13 250:5 251:5 252:1 253:19 254:2 257:17</p>	<p>260:2 264:9 271:7 274:2 276:7 277:6 279:11,14,18 284:11,15 286:2,3,17 287:6,16,17 289:7 290:7 294:16 298:11 301:11,21 303:17 304:17</p> <p>ACVM 2:21</p> <p>ad 10:15 15:7 82:2 89:10 244:20</p> <p>add 160:22 194:16 277:19 286:11</p> <p>added 121:7 139:21 161:10 195:6</p> <p>adding 22:22 57:7 125:3 247:15</p> <p>addition 115:19 139:9 157:19</p> <p>additional 34:20 42:22 51:20 52:5 53:1 97:19 149:16 151:17 209:13 220:21 268:10 277:18 300:6 306:9</p> <p>address 40:19,21 41:5,6 57:11 93:17 113:20 150:3 183:6 230:21 233:13 271:22 295:7,17 297:12 304:9</p> <p>addressed 182:22 269:2</p> <p>addressing 226:8</p> <p>adhering 283:6</p> <p>Adjourn 5:5</p> <p>adjourned 306:12</p> <p>adjudicate 64:13</p> <p>administering</p>
---	---	--	--

<p>102:12 administration 4:17 60:14,15,18 118:5 159:11 225:12 administrative 33:11 36:7 97:15,19 255:4 admit 231:9 ado 12:8 43:9 113:10 225:18 adulterate 20:3 advance 16:12 75:6 129:17 130:17 293:12 advances 16:6 229:18 advantage 158:11 advice 214:21 273:17 advise 53:13 77:17 149:19 advising 9:7 advisor 291:3 advisory 9:4 25:13 119:11,12 154:5 182:6 207:22 aerosol 115:11 affairs 61:12 affected 114:17,22 affects 116:1 affirm 84:2 266:13 affirms 83:11 aforementioned 306:12 afraid 243:11 afternoon 90:19 91:18 111:10 151:22 153:6 156:16 195:13 224:4,10 236:7 247:22 249:20</p>	<p>270:20 afterwards 236:1 against 68:21 99:5,17 agencies 4:21 9:13,17 13:11 32:14 37:8 38:18,19 41:1 76:9 79:15 80:1,13,18,19 101:22 105:13,19 107:3 112:3 125:1 138:12 160:16 182:9 186:16 221:4 agency 30:12 38:9 39:13 59:5,11 70:11,20,21,22 71:4,10 72:19,21 73:20 74:3,6,10,18 76:2,9 77:7,17 78:2,12 79:2,8,9,14,17,2 2 80:5,13 81:11,14 83:4,6,11,16,18, 20 84:2 85:15 86:22 87:1 88:11,12,16 102:6 104:3 105:1 106:16 107:6 108:3 134:6,8 154:20 163:10,21 164:2 181:17 187:2 188:2 260:21 273:4 282:12 agency's 78:2 86:18 296:13 AGENDA 2:2 3:2 4:2 5:2 agent 3:16 26:3 46:11,18 50:3,5,8 51:12,16</p>	<p>54:15,19 55:6 57:15,17 58:15 68:6,19,21 69:3,4,10,12 70:22 94:22 95:2,3 96:1,15 98:1,11 113:6 121:17 124:4,7,8 127:6 128:16 130:9 131:8 134:16 136:3,5,17,18 137:22 138:2,12 139:1,13 140:19 145:2 150:21 153:19 154:2,4 155:18 158:1,3,12,13 159:8 161:5 164:16 170:9 171:19 176:8 180:15 183:20,21 184:5 188:10 190:4 193:13,14,21 194:5,6 195:5 204:13 219:13 229:3 234:16 242:19,20,21 244:12,14 246:9 253:16,22 263:1 271:6 agents 36:19 47:18 50:7 56:4,6,20 57:14 62:8 63:7 72:14 73:2 77:13 95:16 97:11 102:11 111:18,21 116:13 117:1,2,3,9 120:7,9,12 121:16,20 123:4,20,22 124:2 131:12 133:22 136:20 138:20 139:21 140:8,9 150:11 153:21 154:8</p>	<p>156:5 158:6 160:6,7 173:20 188:7 189:9,12 192:4,6 201:7 212:6,10,12 226:16 231:22 232:3 240:6 242:17,18 243:21 245:10,12 246:8 247:17 251:11,12,17 252:6,11 253:13,14 254:13 256:5 264:18 265:1,17 271:7 272:6 275:6 ago 67:12 137:5 147:22 150:12 203:18 206:18 216:3 228:8 230:1 254:6 292:3,12 agreed 178:22 179:16 181:20 agreeing 48:12 agreement 7:15 250:11,12 agreements 7:19 170:15 agricultural 68:22 72:1 124:11 154:22 agriculturally 69:6 agriculture 7:17 16:7 154:18 Agriculture's 154:21 ahead 48:4,14 58:19,22 63:1 66:7 74:20 83:15 92:9 98:16,19 100:21 107:14 149:17 248:9</p>
---	---	---	--

<p>260:12 aid 114:5 aim 47:20 67:1 68:8,13 80:10 206:21 295:10 aims 62:17 64:4 71:1 72:14 91:21 air 97:17 alarm 231:18 alarms 173:17 alert 234:12 algorithm 130:1 alignment 270:12 alive 212:2 allotted 226:1 allow 13:2 276:10,12 allowed 172:10 allowing 156:18 allows 168:15 228:2 277:2 alluded 185:4 alone 14:12 19:13 114:21 198:13 already 56:19 57:15 86:4 87:12,16 96:5,6,16 97:3,12 98:2 104:15 107:1 108:2 115:20 120:3,6 124:19 125:5 145:14 171:16 179:15 188:8,15 203:16,19 204:7 207:3 221:20 229:9 235:15 243:19 245:10 248:5 276:15 277:1 278:6 284:1 300:7 304:12 305:12</p>	<p>alter 26:21 191:5 altered 130:12,21 161:14 271:17 alternate 169:19 alters 69:12 130:8,22 am 1:9 6:3 55:8 62:7 76:13 113:5 125:15 132:5 134:5 135:1 176:15 189:17 205:19 224:6 226:4 233:18 234:5 250:4,5 278:7,8,9 284:16 291:2,18 305:4 307:8,11 308:4 amend 52:2 209:19 212:18 217:9 amended 51:2 209:21 American 156:11 Ames 2:19 113:11,14 142:22 among 103:12 197:17 amongst 10:19 28:19 48:8 amount 137:14 202:22 279:14 amounts 245:1 ample 10:18 amuck 182:10 analysis 75:5,16 92:17 105:2 162:7,22 186:1 216:7,18 Analyst 4:4 195:14 and/or 68:22 69:5 122:15 162:15</p>	<p>anecdotal 301:3 303:18 anecdote 301:9 animal 7:16 8:4 20:13 26:9 61:17 107:19 114:11,19 117:22 119:14 222:19 226:20 276:6 292:10 animals 72:1 announced 211:11 announcement 269:7 annual 64:6,7,8 118:2 160:15 163:21 286:1 289:7,9 290:4 annually 57:2 111:22 answer 49:11 53:19,22 66:16 70:9 72:18,20 79:2 81:19 83:15 85:11 88:2,14 89:10 101:18 103:1,6 106:19 122:6 139:8 147:12 148:9 161:1,18 215:3 222:13 234:15 246:5 260:7,11 261:3 272:3 277:11 287:5 297:22 answered 127:19 145:14 207:12 214:12 answering 53:9 127:2 answers 48:10 66:17 221:21 230:17 233:5 264:9 266:18 274:3</p>	<p>anthracis 232:2 anthracis 21:14 antibiotic 99:11 antibiotics 99:15,16 100:3,18 173:1 anticipate 23:20 28:11 139:20 anticipated 39:20 71:18 anticipates 99:13 anticipating 299:14 anticipation 106:9 antigen 65:4 antigenic 73:6 antigenicity 65:4,18 antimicrobial 164:13 anti-tumor 137:6 antivirals 173:1 anybody 127:14 131:17 191:22 258:5,12 267:5 297:12 304:1 anyhow 91:8 181:19 anymore 243:9 279:6 anyone 44:11 76:18 98:13 223:17 260:12,13 268:18 anything 14:18 40:10 69:1 131:17 145:7 148:14 171:8 238:1 239:19 243:20 268:4 273:9 286:5,18 288:12 289:13</p>
--	--	--	--

<p>294:7 305:11 anytime 214:21 anyway 88:12 236:8 263:9 APHIS 154:5 apologize 71:5 281:8 appeal 235:3 appeals 260:16 261:1 appear 280:1 appearing 307:4 appears 115:10 appendix 214:16 Applause 43:6 112:14 152:7 182:13 195:12 apple 11:15 213:5 application 17:17 28:1 29:1 applications 20:7 57:8 235:12 261:13 applied 17:16 73:10 221:4 apply 46:8 47:12 50:5 107:18 216:15 230:4 276:12 277:15 298:19 applying 29:6 69:21 92:11 138:2 appreciate 97:21 120:2 196:4 222:6 262:10 263:22 264:14 266:22 281:6 293:8 appreciated 112:13 137:20 apprise 80:14</p>	<p>197:19 apprised 64:9 108:9 approach 17:20 23:14,20 24:7 52:11,12 81:1 105:6,7 141:11 223:16 250:16 291:13 approaches 2:14 3:6 4:7 51:1 93:3 153:15 246:22 248:22 approaching 89:11 appropriate 13:1 23:12 24:1 29:18 30:8,20 31:1 32:2 35:5 52:22 61:10 67:3 69:16 81:21 91:13 93:2 108:16 164:8 172:19 186:11,13 213:16 227:1 305:20 appropriately 36:12 52:21 60:13 174:6 270:6 approval 49:3 51:2 67:15 121:8,9,11,21 122:13 136:18 261:20 286:1 approvals 121:13,17 188:13 261:16 approve 49:7 83:21 285:2,19 approved 79:10 107:22 108:10 121:10 134:18 136:14 181:3 227:5 252:22 253:7,9 255:20</p>	<p>285:22 approving 118:16 arbiter 78:13 archived 12:1 220:7 area 15:8,17 27:10,18 30:4 34:20 39:2 116:10 134:9 166:20 201:3 230:1 249:5 areas 36:2 115:8 270:1 294:2 arena 7:10 9:14,22 aren't 36:13 100:3 136:7 277:10 296:18 Argon 157:15 Argonne 157:16 argue 165:22 166:2 191:13 argument 74:22 96:9,13 arguments 262:21 263:5 arise 116:18 arm 155:1 Army 139:4 arrive 82:12 ARS 154:17 ARS-USDA 3:12 art 157:14 article 283:4 articles 210:4,18,21 articulate 39:15 186:2 281:4 articulating 163:4 165:18 artifact 180:14 aside 287:8</p>	<p>ASM 2:21 155:13 218:8 aspect 34:3 40:7,8 54:14 194:9 254:17 274:17 288:8 aspects 21:1,2 23:1 46:21 47:2,5 94:19 139:11 162:10,16 164:22 217:13 225:15 253:12,21 255:9 256:2 268:2 300:8 ASPR 2:12 assay 100:16 assembly 122:18 assess 73:8 74:6 168:17 285:15,21 assessment 29:11 46:16 60:12 75:5,19 85:2 89:18 92:16 96:10 104:20,21 108:1 144:18,19,22 145:4 167:14 174:12 179:1 185:8 186:14,19 193:22 214:15 251:21 277:18 296:16 assessment/risk 193:16 assessment-risk 29:10 assessments 109:1 193:2 213:20 assigned 227:19 assist 57:19 140:15 141:7 Assistant 4:16</p>
---	---	---	--

<p>43:15 225:11 associate 2:4 3:14 4:12 6:4 118:14 155:15 167:22 168:1,3 169:20 224:18,22 225:4 associated 9:21 27:4 154:7 179:12 299:20 300:15,20 association 156:12 218:6 assume 200:22 273:8 287:6 assumed 94:10 131:11 assumption 55:4 Assurance 4:9 224:6 assured 138:6 attached 141:9 attack 290:1,6 attempt 201:10 attend 211:12 attending 196:20 attention 7:11 10:15 41:12 43:5 65:17 66:21 153:8 198:19 attenuated 50:2,17 160:8 164:6 172:6 178:8 180:7 191:19 attenuates 240:22 attorney 60:16 159:13 307:12 attuned 209:1 atypical 117:14 audible 44:10,12 audience 23:16 86:10 113:19</p>	<p>144:8 182:15 189:13 195:20 268:18 287:20 306:2 audio 307:5 audited 256:4 Auditorium 1:12 augment 206:2 215:18 287:7 augmentation 272:22 august 155:6 308:13 Australian 18:14 author 282:7 authorities 30:18 108:17 173:9 authority 280:6,8 authors 280:17 autoclaves 97:18 automatic 227:8 228:2 234:14 automatically 127:16 130:11 200:22 automation 171:11 automobile 248:10,21 automobiles 248:15 available 3:21 31:9 35:14 100:3 109:16 110:2,14 111:1 119:6 133:11 144:15 172:19 173:1 185:15 197:10,14 202:17 203:2,11 204:5,10 248:22 295:19 300:7 avian 20:14</p>	<p>21:19,20 25:4 114:15 120:22 173:7 229:20 232:2 265:22 avoid 230:5 awake 10:14 awakening 256:22 award 81:5 222:16 awards 81:6 aware 25:10 32:15,16 57:21 116:8 191:20 211:6 219:5 223:2 237:18 248:6 awareness 4:7 23:7 33:2 34:11 41:13 197:17 198:5 202:1 218:18 223:8 224:10 228:18 away 38:22 132:18 217:5 241:14 305:12 axis 264:2,4</p> <p style="text-align: center;">B</p> <p>bachelor 156:1 bacillus 21:14 backdrop 24:22 backed 241:13 background 109:15 154:6 199:13 236:16 bacteria 271:13 bacterial 46:10 66:4 99:2 153:19 bacteriologist 60:3 bacteriology 3:9 156:2 bad 132:18 141:14,20 146:1</p>	<p>187:12 202:19 249:22 badge 94:13 balance 8:12 23:19 35:18 142:7 146:6 274:7 balanced 23:14 balancing 16:19 146:2 ball 78:1 232:4 ballgame 131:1 bang 178:10 Barker 69:11 base 47:3 303:13 based 63:16 71:16 99:20 117:12 125:21 126:1 129:22 130:2,4 131:10 181:15 210:6 277:19 295:8 304:18 baseline 98:2 215:13 basic 16:11 91:5 102:22 216:11 245:9 basically 31:14 160:5,11 177:10,12,16 178:8,9 179:7 180:5 182:5 186:21 190:8 226:16 227:18 228:1 265:7 271:4 275:11 Basics 206:6 basis 13:14 35:16 179:13 220:6 223:5 229:7 248:9,18 255:5,15 280:3 281:17 289:8,10 290:6 305:18</p>
---	---	--	--

<p>Bayha 4:4 12:20 152:8 195:13,14 222:3,7,11 223:19 267:3 272:2 278:12 280:20 282:9 285:13 288:8 290:7 294:9 297:14 304:3</p> <p>bear 47:4 216:7</p> <p>bears 301:14</p> <p>beat 176:16</p> <p>became 18:18 149:8</p> <p>become 130:16</p> <p>becomes 92:4 97:4 130:11 138:6 223:2 290:4</p> <p>begin 157:1 160:21</p> <p>beginning 11:20 82:5 89:19 103:8 153:7 203:3 237:7 278:17 279:10 292:17</p> <p>begins 55:19 58:6 186:18</p> <p>behalf 33:13 109:18 232:13</p> <p>behaviors 109:6</p> <p>beings 150:16</p> <p>believe 51:17 53:12 54:7 60:2,4,8 79:2 88:4,6,21 208:21 209:10 247:15 259:19 280:10</p> <p>bells 231:19</p> <p>beloved 58:3</p> <p>bench 20:5 31:20 257:8</p> <p>beneficial 6:16,21 7:5 142:9</p>	<p>benefit 18:16 75:4 86:10 92:17 109:1 129:19 162:7,15,22 174:20 214:15 277:18</p> <p>benefits 6:22 7:6,16 8:13 16:16 22:12 33:21 36:12 37:4 104:16 108:21 116:20 129:20 162:20 163:3,5 165:18 166:9 168:18 174:13,15 186:3 191:14 280:22 281:2,4 296:22</p> <p>benefitted 140:21</p> <p>benevolent 17:4</p> <p>best 35:21 40:4,9 60:8 105:5 129:4 134:3 215:5 300:17</p> <p>Bethesda 1:15</p> <p>better 11:8 15:19 43:12 139:9 185:6 196:14 220:4 255:10 258:21 275:8 285:22 300:13 303:11</p> <p>beyond 20:5 179:15 300:6</p> <p>bigger 134:9</p> <p>biggest 131:19 284:15</p> <p>Binder 291:2</p> <p>binding 129:13</p> <p>bins 198:21</p> <p>bio 155:1 156:12 225:1</p> <p>Biocontainment 4:14 157:11 225:6 246:21</p>	<p>Biologic 3:12</p> <p>biological 6:22 7:6 46:16 127:4 128:14 154:20 156:11 225:9 226:16 229:21 251:11 252:5 269:14 294:22</p> <p>biologically 122:22</p> <p>biology 20:7 126:2,8,13</p> <p>biomedical 16:6 238:18</p> <p>biometric 94:18</p> <p>biosafety 2:22 3:19 4:5 46:15 49:4,8 52:11,12 93:2,5,9,14,17 95:4 98:3 104:10,12 109:15,19,20 117:21 119:20 120:17 121:19 123:1 142:17 143:12 147:16 148:12 149:3,9,20 151:21 154:18 155:19,22 156:8 164:3 165:20 167:3,4 169:21 171:11,13 174:22 175:3 190:9,11 195:15 198:10,12 200:17 204:11 225:17 226:20 239:3 246:6,21 247:13 250:8 255:6,13 258:2,10 275:13 289:19 290:15 297:6</p> <p>biosafety/ biosecurity 189:15</p>	<p>biosafety- biosecurity 108:20</p> <p>biosecurity 2:17 4:4,12 9:4,9 25:14 54:14 93:3,5,10,18 94:11 95:5 98:3 104:10 115:12 119:11 155:22 164:20 169:13,14 174:22 175:5,9 183:3 187:18 195:15 198:13 199:15,18 207:17,22 209:5,6 210:12,13 224:17 246:21 247:13 250:9 255:7</p> <p>Biotechnology 49:7 207:13</p> <p>birds 114:18,22 179:10</p> <p>bit 10:16 57:13 58:19 59:14,16 60:5 63:3 65:17 67:6 76:5 143:10,11 145:15 153:13,17 157:2 183:1,12 188:4 191:8 216:20 217:7,8 236:14 237:15,17 249:8 252:18 270:8 273:7 297:5</p> <p>bite 11:14 213:5 272:2</p> <p>BL3 94:11 97:16 143:20,22</p> <p>blah-blah-blah 146:16</p> <p>blessed 192:1</p>
---	--	---	--

<p>blocks 304:7</p> <p>blog 210:5,6,10,14,15</p> <p>blogs 210:3</p> <p>blue 198:15</p> <p>blundering 264:10</p> <p>BMBL 93:13 171:18</p> <p>board 9:4 25:13 60:15,16 78:18,20 104:6 119:11 207:22</p> <p>boards 144:18,19</p> <p>Bob 69:11</p> <p>body 262:6 278:5</p> <p>BOHN 283:10</p> <p>bold 122:14 178:3 179:18</p> <p>Bon 283:10</p> <p>booth 198:15,22</p> <p>border 294:14</p> <p>borne 130:7 200:20</p> <p>boss 254:22</p> <p>bother 241:1</p> <p>Botox 124:9 134:14 137:6,9,14 138:3 190:16,19,20 211:20 212:5,21 243:16,21 244:16 245:5,15,16 251:3 304:10</p> <p>bottleneck 134:5</p> <p>bottlenecks 134:2,4</p> <p>bottom 23:4 77:22 177:15 180:11 182:1</p> <p>botulinum 19:19 124:5,9 135:20</p>	<p>136:15 190:6 243:3,6,8 245:15 246:11</p> <p>botulism 251:19</p> <p>bounce 230:19</p> <p>box 122:14 227:21 256:12 257:2</p> <p>boxes 181:9 255:18 256:6 259:20 261:15</p> <p>boy 35:3</p> <p>Boyle 48:16 55:6 59:19</p> <p>branch 3:10 153:18</p> <p>breadth 175:10</p> <p>break 2:13 4:6 152:8 223:20</p> <p>breaks 10:21</p> <p>Brendon 265:16</p> <p>BRI 229:4</p> <p>brief 233:14</p> <p>briefly 247:3</p> <p>brilliant 239:1</p> <p>bring 22:3 129:6 175:11 190:5 244:20 246:18 268:9</p> <p>bringing 7:7 151:11 247:19</p> <p>brings 8:14 128:5 174:7 304:13</p> <p>BRI's 224:20</p> <p>broad 7:14,18,19 17:8 71:21 73:11 247:10</p> <p>broader 9:11 20:7 116:18 232:9 249:10</p> <p>broadly 45:13 220:8 245:8</p> <p>brochure 199:9,11</p>	<p>203:5 204:4,20</p> <p>brochures 203:16,21</p> <p>bronze 36:5</p> <p>brought 183:18 216:7 262:11 271:2 276:15 304:9</p> <p>brunt 255:20</p> <p>BSL2 56:20</p> <p>BSL3 96:14 104:13 118:3 181:2 182:6</p> <p>BSO 52:17 60:4 283:22</p> <p>buck 178:11</p> <p>budgets 195:22</p> <p>bugs 172:20,21</p> <p>build 32:1</p> <p>building 1:12 136:8 152:11 171:10 202:2 262:14 278:1</p> <p>built 157:11 184:3 225:7</p> <p>bulk 157:8 194:19</p> <p>bullet 272:3</p> <p>bulleted 32:9</p> <p>bullets 150:14 238:14</p> <p>bunny 23:18</p> <p>burden 212:22 255:4</p> <p>burdensome 212:9 300:18 304:14</p> <p>burkholderia 232:2</p> <p>Burns 54:11 66:12 68:15 84:4,11 89:5 90:14 91:20 100:22 101:10</p>	<p>106:20</p> <p>burnt 287:18</p> <p>business 148:20 166:19</p> <p>busy 306:4</p> <p>buying 42:18</p> <p>by-and 15:12</p> <hr/> <p style="text-align: center;"><u>C</u></p> <p>cafeteria 152:9,13,20,21</p> <p>cake 180:12</p> <p>calendar 112:7,8</p> <p>campus 157:8,14,17,19 158:10 170:15 225:2 229:5 243:21 246:11</p> <p>campuses 157:7</p> <p>cams 95:9</p> <p>cancer 125:14 128:18 150:15</p> <p>candy 198:22 199:2,5</p> <p>canine 137:5 173:13</p> <p>capacities 155:17</p> <p>capital 1:19 131:14</p> <p>Capitol 115:15</p> <p>captive 189:13</p> <p>capture 244:6 245:8,17 275:14</p> <p>captured 251:20 268:15 270:1 271:22</p> <p>care 61:17 196:22 225:18 259:8</p> <p>careful 180:18</p> <p>carefully 47:13 68:10 232:21</p>
---	--	---	---

<p>Carrie 2:4 6:3 12:14,15 13:9 20:16 22:8 43:5 47:9 86:15 210:8 213:4</p> <p>Carrier 15:20</p> <p>Carrie's 195:19</p> <p>Caruso 151:10 270:20,21</p> <p>case 2:10 10:13 41:18 43:10,21 44:1,3,4,8,18,21 45:8,19 46:8,9,13,21 47:2,16 48:8,11 53:12,20 54:17 59:17 63:16 66:16,18 70:1 77:1 88:19 92:8,19 98:20 112:12 127:16 131:14,21 153:1 166:10 173:4 176:16 178:19 181:7 194:6 215:21,22 216:1,6,8,13,16, 21 217:16,17,19 221:8 237:21 238:8 240:9 244:4 262:14 267:17 272:10 277:5 283:1 286:2 300:8 302:6 303:13</p> <p>case-by-case 223:4</p> <p>cases 192:10 280:5 297:1 302:20</p> <p>cast 192:7</p> <p>cat 202:20</p> <p>catastrophic 114:11</p> <p>catch 190:12</p> <p>catch-all 267:22</p> <p>categories 239:10</p>	<p>categorization 126:9</p> <p>categorize 125:21</p> <p>categorized 130:19 131:6</p> <p>category 33:4 126:14 131:21 230:9 269:14</p> <p>causative 46:11</p> <p>cause 19:21 20:8 26:8,22 28:17 169:2 248:8,17 281:12</p> <p>caused 114:17</p> <p>caution 24:10</p> <p>CBSP 2:21 3:11,14,18 4:14</p> <p>CD 203:1</p> <p>CDC 154:5</p> <p>CDC's 168:7</p> <p>cell 48:18 240:22</p> <p>cells 65:8,15 73:8 150:15</p> <p>censoring 34:22 140:2</p> <p>center 114:10 225:5 237:5 291:4,11</p> <p>centers 253:16</p> <p>Central 117:18</p> <p>centralize 184:2</p> <p>CEO 259:8</p> <p>certain 36:7 56:21,22 125:5 287:14 297:18</p> <p>certainly 7:22 34:21 37:9 46:6 91:22 114:1,9 116:15 118:19 231:16 238:5 261:8,13 266:7</p> <p>certificate 233:4</p>	<p>307:1 308:1</p> <p>certification 155:14 250:7</p> <p>certify 307:3 308:4</p> <p>CET 308:17</p> <p>chain 19:18 20:1</p> <p>chair 51:1 52:4,13,18 149:3 155:13 159:4,6 167:22 188:11,12 233:19</p> <p>chaired 154:5</p> <p>Chairman 3:4</p> <p>chairs 118:14 159:15</p> <p>challenge 24:15 40:3 106:10 247:18</p> <p>challenged 271:4</p> <p>challenges 42:8 151:13,15 304:8,15</p> <p>chance 283:12</p> <p>change 37:11 50:14 64:5,9 122:7,10,21 150:17 151:5 163:14 179:14 226:14 229:11 233:10 264:2 274:10 275:16 284:13 288:6 289:19 302:8 303:10</p> <p>changed 286:5,7 288:12 289:13</p> <p>changes 27:21 64:10 131:1 240:22</p> <p>changing 65:19 66:1,3 249:21 286:18 288:19</p>	<p>channel 105:20</p> <p>chapter 202:3</p> <p>characteristics 26:12,21,22 127:1</p> <p>characterize 48:21 50:18 110:10</p> <p>characterized 99:7</p> <p>charge 139:15 170:4 208:2 290:13</p> <p>charging 195:9</p> <p>chart 264:1,3</p> <p>charts 270:15</p> <p>chassis 249:4</p> <p>chatting 48:7</p> <p>cheap 184:6,8</p> <p>check 62:16 133:8 161:16,21 261:14,17 288:16</p> <p>checked 202:18 256:7,11 259:20</p> <p>checking 288:17</p> <p>checks 67:17</p> <p>chemically 271:17</p> <p>chemicals 148:13 252:12</p> <p>Cheryl 4:8 224:6 225:20 260:14 265:15</p> <p>Cheryl's 248:18</p> <p>Chicago 3:15 155:17 157:1,16 158:8 163:8 191:10</p> <p>chicken 24:6 130:10</p> <p>chickens 131:7</p> <p>Chief 2:17 3:9</p>
--	--	---	---

<p>children's 3:4 125:13 128:17,19</p> <p>China 131:4</p> <p>choice 122:9</p> <p>choose 128:10</p> <p>chop 217:3</p> <p>chores 288:9</p> <p>Chris 75:10 105:17 106:20 152:18 188:20 190:22</p> <p>CIH 3:18</p> <p>circle 79:11 111:13</p> <p>circles 103:15 112:5</p> <p>circulated 144:5</p> <p>circulating 103:19 131:4 141:16</p> <p>circumstance 279:17 287:12</p> <p>circumstances 106:7 169:17 170:20 278:21</p> <p>citing 276:2</p> <p>city 234:3 235:9</p> <p>clamping 280:19</p> <p>clarification 276:2</p> <p>clarifies 56:2</p> <p>clarify 45:21 281:15</p> <p>clarifying 296:8</p> <p>clarity 244:1 298:11</p> <p>classic 18:11 192:10</p> <p>classification 106:10 278:16</p> <p>classifications 278:22</p>	<p>classified 91:3 101:19 135:15</p> <p>classify 105:15 239:15,20</p> <p>classifying 106:11</p> <p>classroom 201:1 204:2 217:6</p> <p>clean 180:14,21</p> <p>clear 45:10 46:7 48:10 54:20 56:15 57:18 102:14 116:4 184:17 293:18 296:15</p> <p>cleared 181:3</p> <p>clearly 45:1,5,8,19 58:1 63:15 84:8 92:15 236:18 242:9</p> <p>click 251:11,16 255:19 257:2</p> <p>clinical 65:16,20 66:4 68:22 134:12,21 135:20 243:15,16,22 244:3 245:5,17,18</p> <p>clinically 69:5</p> <p>clinician 137:5 164:17</p> <p>close 42:1 89:6 92:8 112:4 121:11 139:19 148:11</p> <p>closed 64:12 157:16</p> <p>Closing 4:22</p> <p>CLP 51:14</p> <p>co 201:17 250:4</p> <p>Coast 23:17 114:18</p> <p>co-chair 153:10</p>	<p>co-convener 250:6</p> <p>code 94:13 165:7,8</p> <p>coffee 23:5,18</p> <p>cognizant 100:20</p> <p>COGR 218:8</p> <p>coincided 115:7 302:1</p> <p>coli 271:16</p> <p>collaborate 129:10</p> <p>collaborating 260:3 281:9</p> <p>collaboration 104:22 253:18 258:19 266:5,7</p> <p>collaborations 265:20 281:17</p> <p>collaborative 45:7 163:7,12,20 164:2 235:10 259:16</p> <p>collaboratively 92:22</p> <p>collaborators 103:4 129:15</p> <p>colleague 199:1</p> <p>colleagues 14:2,13 43:2 84:3 87:6 151:11 155:7 184:13 194:13 231:19 259:11 271:3 283:21</p> <p>collection 220:7</p> <p>college 2:19 113:12 117:14,15 135:19 290:9,12</p> <p>colleges 183:22</p> <p>collegial 204:19</p> <p>collegiate 113:22 114:4 118:9,19</p> <p>Coller-Monarez</p>	<p>2:8 5:3 12:14 139:22 267:13 269:21 270:19 271:20 276:16 281:15 287:21 293:14 294:16 296:6,10 297:11 299:8 304:21</p> <p>Collins 210:20</p> <p>Colorado 2:22 119:20 120:11 123:9 126:18 136:22</p> <p>colorful 198:18</p> <p>colors 201:5</p> <p>combination 18:22 19:1</p> <p>combined 269:12</p> <p>comes 8:3 11:5,10 15:6 16:5 41:6,7 70:14 71:8,9 101:15 126:20 144:14 145:19 149:12 164:10 241:7 266:14,15 275:3 279:2 280:22 286:3 297:21</p> <p>comfortable 26:18</p> <p>coming 12:5 114:14 141:3,15 208:14 233:11 237:8 249:20 280:19 283:1 286:19 303:14</p> <p>commence 121:11</p> <p>commences 67:15</p> <p>comment 57:5 72:6,8 74:3,5 75:9,14 84:9 86:15 89:4 95:21 97:21 100:14 137:19 151:7 183:6 192:2 269:18</p>
---	---	--	--

<p>comments 40:19 44:1,3,18 57:4 62:19 67:21 72:21 74:19 77:5 78:8 82:15 85:4,18 92:6 96:17 98:3 99:11 103:16 105:9 112:11 132:8 144:8 169:11 185:9 212:17 221:10 270:15 304:2 305:8</p> <p>Commerce 269:6,16</p> <p>commitment 165:8</p> <p>committed 45:6</p> <p>committee 36:9,11 52:13 61:18 117:21 119:12 127:9 132:14 140:14 147:15 148:12 149:3,9,13,20 154:3 159:3,4,5,7,8 169:13 170:22 182:3,5,7 188:11 189:16,18 217:2 226:20 233:18 249:11 271:9 283:18 284:4</p> <p>committees 108:6,15 109:20 119:8,9 142:18 154:1 255:8 258:4 287:2</p> <p>committee's 232:4</p> <p>committing 165:14</p> <p>common 7:13 16:21 278:13</p> <p>communicable 168:5 170:7</p> <p>communicate</p>	<p>34:17 51:21 52:6 53:2 59:4,10 91:20 97:5 103:13 104:8,9,14 105:4 109:8 159:21 166:22 176:1 187:2 213:22 296:4</p> <p>communicated 7:21 106:2,4 129:4 166:5,10 174:6 270:6</p> <p>communicates 50:21</p> <p>communicating 34:13 103:21 105:7 185:7 262:5</p> <p>communication 45:15 52:15 57:19 61:5 75:22 80:1,17 91:14,15 100:22 101:6,7,11 103:1,18,20 104:4 106:18 108:19 112:5 140:13 145:7 174:2 194:1,8,17,19 214:15 217:12 247:19 258:16 278:15</p> <p>communications 101:8 129:6 169:22 175:13</p> <p>communications/ public 61:12</p> <p>community 11:4 13:20 69:21 110:6 192:7 194:13 197:18,19 204:5 206:22 207:15 218:16 247:10 275:19,20 292:4</p>	<p>294:3</p> <p>companion 194:9 214:4,8,13 215:2,3</p> <p>Company 1:19</p> <p>compared 99:6</p> <p>compelled 300:21</p> <p>competent 284:8</p> <p>compilation 276:18</p> <p>complacency 40:2</p> <p>complain 187:10</p> <p>complement 22:20</p> <p>complementary 216:19</p> <p>complete 174:5 181:12 227:1 276:10,11 308:10</p> <p>completed 37:6 228:3 252:22 253:4,8</p> <p>completely 37:15 152:21 241:14 252:9 284:4</p> <p>completion 227:6</p> <p>complex 178:6,13</p> <p>compliance 4:9 40:14 60:22 108:6 114:6 118:6 215:6 222:10 223:15 224:6 225:17 226:9,19 232:14 234:8,9 253:8,11 256:1,3,6 259:1 281:20 297:5,8,15 298:2,17,22</p> <p>compliant 283:8 288:21 290:22</p> <p>complicated 133:19 148:5</p>	<p>246:2,3 254:21</p> <p>compliment 293:15</p> <p>comply 213:19 222:18,19,20,22 255:5 297:20</p> <p>complying 223:3</p> <p>component 20:16 22:11 29:15 38:13 75:19 127:8 227:3</p> <p>components 37:5 57:11</p> <p>comprehensive 293:4 303:9</p> <p>comprised 167:21 169:16</p> <p>computational 131:10</p> <p>concentration 100:16</p> <p>concept 239:13 241:14 246:13 291:14</p> <p>conception 109:10</p> <p>conceptual 202:5 203:10</p> <p>conceptually 193:17</p> <p>concern 1:6 2:7 3:22 6:11 7:9 8:16,21 9:20 13:16,22 14:11 17:6 18:11,13 20:5,18 21:8 22:9 23:7 28:8 29:3 30:6 32:3,9 34:17 37:6 42:19 45:17 71:2 108:18 118:13 120:10,14,20 121:4 122:3 123:19 124:17,20 130:20</p>
--	---	---	--

<p>131:18,19 134:7,9 149:13 164:5 169:8 197:16 205:20 206:13 207:19 224:11 229:19 234:10,17,21 239:12 243:18 262:5 264:17 276:5 282:6 291:8 292:5 293:19 296:16 297:1</p> <p>concerned 134:3,4 136:3 142:6 243:13,15 291:16</p> <p>concerns 11:12 19:2 22:5 31:2 40:18 115:19 120:13 128:20 230:21 256:7 272:5 273:2 285:7</p> <p>conclude 12:4 99:19</p> <p>concluded 190:7</p> <p>concludes 63:19</p> <p>concur 294:14</p> <p>concurrence 85:15</p> <p>concur 74:18</p> <p>conditions 46:15 49:8 93:15 102:3 222:17 297:19 298:15</p> <p>conduct 8:2,5 35:10 37:3 45:15 84:14 93:6 104:11 105:5 109:5 118:12,20 165:7,8 175:3 214:14</p> <p>conducted 7:21 17:1,15 22:6 27:15 35:21 56:4 57:20 106:1,4</p>	<p>109:1 116:21 128:9</p> <p>conducting 28:5 54:1 111:17 162:18 172:2 282:22</p> <p>conducts 63:12 118:2 168:3</p> <p>confer 123:7</p> <p>conference 198:12,17</p> <p>conferences 198:9,10,20</p> <p>confers 69:4 122:21</p> <p>confidence 166:14</p> <p>confident 256:4</p> <p>confidential 185:11</p> <p>conflict 255:16</p> <p>conflicts 218:20</p> <p>confused 275:22</p> <p>confusing 223:13</p> <p>confusion 22:22 42:10,11</p> <p>congregate 201:4</p> <p>conjunction 25:14</p> <p>connection 45:3</p> <p>connects 153:14</p> <p>consciously 122:7,11</p> <p>consensus 239:8 241:2</p> <p>consequence 73:11 98:13 171:3 242:10</p> <p>consequences 17:8 68:18 71:22 162:13 230:4 248:4 297:10</p> <p>consider 48:7 57:6 93:10,19 98:22</p>	<p>99:1 101:8 120:8 147:5,6 164:5 167:1 194:4 270:4 271:18</p> <p>considerable 38:14 182:16</p> <p>considerations 66:5 93:2,16 95:4 98:21 99:22</p> <p>considered 66:18 90:16 103:9 114:13 116:14 120:9 131:8 136:17 144:16 184:12 271:15,19 276:4 282:5</p> <p>considering 107:18</p> <p>considers 98:18</p> <p>consistency 13:14</p> <p>consistent 15:15 16:14 28:7,19 29:1 37:20 105:4</p> <p>consistently 27:1 101:6 105:21</p> <p>constantly 181:5 189:21 232:10 234:9</p> <p>constituency 205:5</p> <p>constitute 60:6</p> <p>constituted 69:16</p> <p>constitutes 62:5 78:22</p> <p>construct 19:8 37:13</p> <p>constructed 19:10</p> <p>consult 49:6 53:8 59:13 67:3</p> <p>consultant 183:19</p> <p>consultation 211:7,16 219:21</p>	<p>consulting 119:8</p> <p>consultive 38:4</p> <p>contact 38:7 39:10 46:5 58:4 59:10 76:2 80:6,14 123:14 163:16,17 167:21 200:16 201:8,15 215:4 232:13 233:16 234:18 272:8 273:3,15 286:13</p> <p>contacted 240:12 292:4</p> <p>contacting 33:6</p> <p>contacts 41:2</p> <p>contain 146:19,20</p> <p>containment 21:22 96:13 164:8 247:13</p> <p>contaminated 19:19</p> <p>contamination 21:19</p> <p>contend 102:12</p> <p>content 246:17</p> <p>contention 179:11</p> <p>context 68:5 81:12 95:2 107:2 108:22 158:19 231:3,4 277:8,22</p> <p>contingency 188:1 241:6</p> <p>continual 215:6 250:17</p> <p>continue 16:4 22:3 27:11 37:17 69:20 89:22 112:16 154:12 242:6 243:13,14 300:11 303:2</p> <p>continued 3:1 4:1 5:1</p>
---	--	---	---

<p>continues 294:12</p> <p>continuing 160:13,14 218:5 234:1</p> <p>continuum 101:10</p> <p>contraceptive 18:16</p> <p>contract 80:7,11 84:16 280:7</p> <p>contracts 255:16 258:6</p> <p>contributes 220:10</p> <p>control 18:17 65:20 94:8,9 95:19 115:4,6,13 136:1 173:8</p> <p>controlled 94:14</p> <p>controls 57:7 104:14 184:3</p> <p>controversial 230:1 241:22</p> <p>convened 53:14</p> <p>convener 250:5</p> <p>convention 295:1</p> <p>convergence 291:19</p> <p>conversation 15:9 60:6 88:11 152:2 204:19 281:3 305:6</p> <p>conversations 107:7 270:7 280:3</p> <p>conversion 137:12</p> <p>converted 137:8</p> <p>convey 246:15 248:14</p> <p>cooperation 294:11</p> <p>coordinate 80:19</p> <p>coordinated 81:3</p>	<p>coordinating 38:2</p> <p>coordination 224:20 294:7,19</p> <p>cop 189:15</p> <p>copies 200:11 203:2,13 204:9</p> <p>copy 44:8 204:8</p> <p>core 119:2 237:6 250:4</p> <p>corporation 291:15</p> <p>correct 55:2,3 62:12 63:6 70:13 72:12 73:21 74:1,13 78:17 85:2 88:3 105:16 181:6 308:10</p> <p>correctly 68:2 260:10</p> <p>co-sponsored 219:17</p> <p>cost 114:22 299:20 300:15,20</p> <p>costs 300:10</p> <p>could've 256:11</p> <p>counsel 60:19 129:8,15 307:9,12</p> <p>count 196:18</p> <p>countermeasure 98:21 104:17</p> <p>countermeasures 66:2 98:19 99:2,5,19</p> <p>countries 220:2,12 295:9,22</p> <p>country 292:9</p> <p>couple 46:13 53:7 63:7 93:9 107:15 111:3,6 185:3 193:10 228:9 240:3 248:2 261:10 262:12</p>	<p>283:14</p> <p>course 7:3 9:19 11:17 16:9 19:4 27:3 35:22 106:14 129:22 147:7 149:21 177:7 206:6 209:22 213:4 228:8 243:4 244:7 245:11 247:3,7 268:5,12 284:22 291:8 295:9 305:8</p> <p>courses 235:13,14</p> <p>court 78:2 308:5,7</p> <p>cousins 109:12</p> <p>covered 62:19 64:2 243:17 266:10,12 282:17,19</p> <p>covering 123:12</p> <p>cowboys 264:11</p> <p>cracks 37:22</p> <p>create 175:12 215:11 218:21</p> <p>created 115:3,8 131:20 159:2,20 208:15 220:6</p> <p>creating 275:11 303:8</p> <p>CRISPR 64:7</p> <p>criteria 28:16,17 29:2,9 47:15 74:22 75:2 77:12 104:20 122:1 123:6,20,21 130:7 131:15 133:10,11,12 138:14 168:12</p> <p>criterion 63:21 68:8</p> <p>critical 16:1 18:2 20:16 23:16 26:2 29:15 35:10 36:9,15 37:2</p>	<p>38:13 116:10 175:14 178:21 300:14,20 302:5</p> <p>crops 72:1 117:12</p> <p>cross 190:10</p> <p>cross-fertilized 108:15</p> <p>crowd 6:3 190:20</p> <p>culture 45:13 198:1 259:6,12 274:18 275:12 289:17</p> <p>cup 23:5</p> <p>curious 107:16 151:22 186:10 278:10</p> <p>current 8:15 10:3,9 47:3 50:8 71:17 102:2 121:13,21 123:10 155:13 184:9 189:11 206:22 208:21 212:14 220:4 234:2 295:15 296:17</p> <p>currently 122:6 155:14 156:3 253:13 271:10</p> <p>curriculum 119:3</p> <p>cut 140:22</p> <p>cuts 230:14</p> <p>cybersecurity 95:14</p> <p>cycle 86:2 187:16</p> <p>cytokines 240:10</p> <p style="text-align: center;"><u>D</u></p> <p>D.V.M 2:19</p> <p>Dana 48:11 87:19</p> <p>dangerous 24:11 196:11 280:15</p> <p>data 35:12 150:20</p>
--	--	---	--

<p>172:12 186:3 293:9 299:18,19 301:13 302:4</p> <p>date 54:20 115:1 143:1 244:20 253:5 266:7</p> <p>dates 115:3</p> <p>David 254:22 255:1</p> <p>day 6:21 16:22 42:21 44:16 120:5 162:21 180:11 187:10 192:14 193:10 206:16,17 257:14 268:12 278:13 305:9</p> <p>days 77:10,11,18 79:6 83:16,18,20 87:7,8 103:17,19 112:7,8 125:2 200:4 227:14 237:18,19 259:5 298:4</p> <p>day-to-day 255:5,15</p> <p>de 19:8 135:14</p> <p>dead 180:11</p> <p>deadline 187:20 188:3</p> <p>deadly 231:20 232:7</p> <p>deal 29:14 30:6 94:16 146:3 188:6 223:4 241:20 270:2 298:1 302:3,17 305:7</p> <p>dealing 47:11 57:14 97:22 102:10 133:14 174:8 193:13 287:12</p> <p>dealt 257:11</p> <p>dean 2:19 113:12</p>	<p>149:17 169:20 290:18</p> <p>deans 118:14 290:12</p> <p>dear 177:8</p> <p>death 115:2 176:16</p> <p>deaths 114:9</p> <p>debated 192:16</p> <p>decade 18:6 169:16</p> <p>decide 75:1 102:8 280:13</p> <p>decided 158:9 182:2 184:2 301:7</p> <p>decides 50:14 68:2 280:15</p> <p>deciding 280:3</p> <p>decision 36:14 74:18 77:18,19 78:13 79:6 82:12 83:19 108:7 130:4 138:13 261:19 280:17</p> <p>decisional 74:11 83:11</p> <p>decision-making 42:11</p> <p>decisions 64:13 106:17 109:6,9 220:14</p> <p>deck 205:10</p> <p>decrease 177:21 301:10,22</p> <p>decreasing 178:1</p> <p>dedicated 91:18 97:17 196:22</p> <p>dedication 196:6</p> <p>deemed 181:14</p> <p>default 278:17,19 298:7,8</p>	<p>defaulting 122:6</p> <p>defend 264:5</p> <p>defense 139:2 291:3</p> <p>defensible 34:1</p> <p>defer 109:16</p> <p>define 45:17 89:13 254:14</p> <p>definite 143:5</p> <p>definitely 12:18 120:12 123:22 136:12 146:2 152:12 204:10 286:21 289:2 295:3</p> <p>definition 17:10,16 45:18 48:1 70:10 71:15 73:4,18 76:5 77:2 91:2 105:22 168:22 238:3,19 247:8 269:13 279:15</p> <p>definitions 16:20</p> <p>degree 99:4 159:21 238:18 248:14 287:14</p> <p>Delarosa 144:10</p> <p>delegation 14:2 219:2</p> <p>deliberation 20:18 59:5 71:11 302:22</p> <p>deliberations 77:8 80:15 149:21 159:18 160:2 207:10</p> <p>deliberative 296:17</p> <p>delicately 288:4</p> <p>delivering 118:22</p> <p>delta 51:14</p> <p>deluged 273:21</p>	<p>demand 300:6</p> <p>demonstrate 216:6</p> <p>demonstrating 20:13</p> <p>Dennis 3:9 82:1,15 89:3,4 104:22 106:22 153:9 154:19,20 267:19</p> <p>denominator 188:22</p> <p>department 4:9 14:14 43:14 61:20 116:11 118:1,11,14 139:2,4 149:2 154:17,21 170:6 173:12 175:13 224:7 251:5 262:7 269:6 294:17,21</p> <p>departments 4:21 184:1</p> <p>dependent 189:2 227:19 281:10 298:6</p> <p>depending 39:1 46:15 86:17 96:10 187:15 223:5 261:21</p> <p>depends 187:14</p> <p>deposition 307:10</p> <p>depth 252:21</p> <p>deputy 170:6 250:4,6</p> <p>describe 55:19 104:7,10</p> <p>described 48:1 64:1</p> <p>describes 176:5</p> <p>describing 101:5 202:9</p> <p>description 19:17</p>
---	--	--	--

<p>175:2 descriptions 190:1 deserve 88:1 89:9 261:8 design 65:10 167:1,4 249:4 267:22 designation 233:16 designed 31:14 209:9 desirable 297:2 desk 41:7 destination 196:2 destruction 136:1 detail 121:7 129:21 204:21 247:5 252:18 detailed 162:22 details 12:11 68:11 146:20 221:2 detect 116:9,18 determination 63:9 71:8 74:8 196:5 determinations 63:14 determine 63:14,16 70:12 71:6 72:4 73:9 103:14 120:15 168:11 determined 37:1 76:20,22 85:17 87:17 92:20 213:14 279:11 determines 71:12 72:9 73:5,18 74:11 77:22 determining 36:22 78:21 188:22</p>	<p>devastated 114:16 devastating 116:1 develop 11:9 13:20 23:8,11 26:11 29:10,12,22 30:20 37:7 44:21 66:19 70:7,10 77:6 92:13,22 101:5 116:16 171:6 193:17 213:20 225:8 235:1 303:4 developed 22:10,19 24:21 27:17 31:10 41:22 45:1,2 164:16 176:22 229:10 234:4 235:14 developing 3:6 14:9 23:14 24:16 75:6 111:7 153:15 229:7 development 9:16 10:3 15:4 16:12 18:8 20:21 21:7 33:22 59:7 85:1 104:18 108:14 116:16 171:20 250:5,7,12 270:3 develops 138:17 DHS 267:20 diagnostic 170:10,12 diagnostics 225:8 dialogue 14:13 15:15 16:15,18 17:12 19:5 20:15,19 24:18 25:11 27:3,11 28:14 30:16 32:15 34:21 35:1 37:21 38:11 39:1,20,21 41:3 42:22 64:16 76:6</p>	<p>88:18 106:15 112:4 202:4 273:5 294:1,5 305:13 different 26:10 37:18 67:7 84:5 88:20 102:5 121:17 128:5 130:15 136:8 148:2 172:6,16 174:10 182:8 184:1 187:7 191:6 193:21 199:7 216:20 219:9 227:19 235:13 237:1,2 238:20 239:19 241:16 245:1 246:22 249:1 254:8 264:3 268:13 281:3 292:9 295:8,9,21 300:4 301:9 differently 273:7 difficult 102:16 141:20 161:6 184:11 190:8 258:17 287:2 difficulties 211:21 difficulty 241:2 dilemma 6:15,20 diligence 136:10,13 138:5 din 104:12 direct 17:17 28:17,22 29:4 73:9 170:9 179:11 290:18 291:8 directed 132:18 direction 67:19 directions 4:19 37:15 directly 17:7,10 18:2,7 20:11</p>	<p>21:6 26:15 28:13 35:19 43:8 47:5 71:20 73:10 75:17 269:1 director 2:4,22 4:12,14,17 6:4 60:14 118:3 119:20 128:15 143:12 155:19 159:11 168:5 169:22 170:6 224:17 225:13 227:12 Directorate 224:8 Directors 210:20 directs 225:5 disagree 74:7 87:2 disagrees 39:13 88:12 disappointed 250:2 disaster 297:6 disbarment 298:18 disbursed 144:15 disclaimer 236:9 disclosed 56:8 discovery 21:13 discretion 40:6 discuss 84:8 116:20 246:13,22 268:7 272:9 291:17 discussed 27:8 127:2 discusses 59:19,21 discussion 20:20 24:19 25:9 28:19 43:4,17 44:15 62:3 91:18 104:19 106:8 113:17,19 156:20 169:10</p>
--	--	---	---

<p>193:20 220:11 242:22 260:8 263:10 268:2,5 270:3,17,22 271:19 275:18 292:1</p> <p>discussions 9:15 69:18 78:19 102:18 106:12 111:12 231:14 232:19 262:21 272:20 303:19</p> <p>disease 46:12 65:20 114:11,17,19 115:1,11 168:2,5 170:7 173:2 278:4 293:1</p> <p>disregard 290:18 298:21</p> <p>disregarded 290:17</p> <p>disrupt 50:21 54:2 68:20</p> <p>disruption 63:13</p> <p>disseminate 69:9 208:12</p> <p>disseminated 61:9 132:2</p> <p>disseminating 208:9</p> <p>dissemination 117:11</p> <p>distributed 127:14 203:16,19 204:8</p> <p>distribution 103:11</p> <p>dive 6:12</p> <p>divergent 179:22</p> <p>division 2:17 168:5 170:5,7</p> <p>divisions 170:13</p> <p>Dixon 3:9 82:1 89:7 107:1</p>	<p>153:6,10 182:14 184:13,19 185:1 186:7 188:19 190:22 192:18 195:8</p> <p>DNA 119:12 200:14,16 252:10 266:11</p> <p>doc 34:7</p> <p>docs 33:16 172:3</p> <p>doctor 32:21</p> <p>document 165:7,13,14 178:5 209:14,17,21 214:16 219:20 226:18</p> <p>documents 209:22 210:19</p> <p>DoD 139:5</p> <p>Doerr 4:8 224:4,6 260:6 265:8 266:21</p> <p>dollars 115:1 143:4</p> <p>done 13:4 24:8 25:21 29:8 33:13 47:8,9 49:8 60:16 61:9 77:3 97:16 108:22 111:20 125:6 128:12 140:21 144:4 145:8 147:13 160:14 164:1 185:8 191:18 195:3 197:1,11 205:21 218:15 233:4 236:15,22 244:18 253:4 258:8 259:4 262:12 263:10 265:7 277:12 279:18 281:21 285:16 290:1 304:19</p>	<p>door 107:8 160:13</p> <p>dose 66:11</p> <p>double 285:11</p> <p>double-checking 95:20</p> <p>download 44:4</p> <p>Doyle 265:15,16 266:1,20</p> <p>Dr 48:15,17 50:13 51:1,16,20,21,22 52:1,3,6,10 53:2,13,21 54:10,18 55:9,10,12,17,19 ,20 56:2 58:1,2,5,6 59:17,22 60:3 62:3,10,13,20 63:5,12,20 64:4,18 66:12,19,22 68:15 70:4 84:4,11 89:5 90:14 91:19 92:20 93:3,11 96:10,11 97:13 98:4,5 99:8,20 100:22 101:4,9 104:2 106:19,21 112:21 113:11,14 119:17,19,22 120:1 125:9,10,12 132:6,13 133:5 134:20 135:5,8,12,15,19 136:10,12 137:17 138:11,16,19 140:7 141:12 142:22 143:2,18 144:8,21 146:7 148:18 151:6 152:4 155:7 156:14,16 167:9 175:20 177:3 182:14</p>	<p>184:13,19 185:1,22 186:7,18 188:19 189:10 190:22 191:9 192:2,18 194:3,16 195:8 210:19 222:8 224:15,16 225:3,20 226:7 236:1,5,13 254:22 260:15 261:3 262:17 264:14 265:19 266:4 275:2 288:1 289:22 299:3</p> <p>draft 77:6 78:22 79:5 233:19</p> <p>drain 287:5</p> <p>dramatically 117:11</p> <p>draw 198:22</p> <p>draws 198:18</p> <p>drills 173:18</p> <p>drinking 23:18</p> <p>driven 286:15</p> <p>drop 203:14</p> <p>drug 179:8 271:17 291:16 292:5 293:9</p> <p>drugs 225:8</p> <p>dual 1:6 2:7 3:22 6:10,15 7:9,10 8:1,10,16,21 9:8,20 13:16,21 14:10 16:22 17:5 18:5,10,13 20:5,17 21:7 22:9,17 23:6 25:7 26:8 28:8 32:2,8 33:17 34:5,17 37:5 38:8 42:18 45:17 46:5 58:4 103:10 120:10,13,19 121:4 122:3</p>
--	--	--	---

<p>123:18 124:20 130:20 133:7,9,16,20 147:20 149:13 156:5 159:1,9,14,17 160:1 163:11,16 167:13 168:13,19 169:1,7 174:8,12 180:7 195:18 197:16 198:13 199:11,14,19 200:1 202:5,15 203:4,8 205:5,20 206:13,14 207:18 218:16,18 221:1 224:11 226:9 227:2,4,21 228:12,15 230:2,3 233:16 237:7,13 238:13,16,19,22 239:8,12 240:14,16 242:5 246:14,15,19 247:1,7 248:3 252:15,19 254:7 260:17 262:4 264:22 276:4 281:12 282:6 284:21 292:11 293:19 295:2,7,17 296:16 duck 149:12 due 136:10,12 138:5 166:7 196:2 199:2 201:5 257:9 Duke 4:15 225:5,6 237:5,9 238:9 239:7 243:9 246:11 248:5 262:18 266:9,10 dunk 97:19 duplicate 82:7</p>	<p>95:19 duplicative 80:22 DUR 202:7 durc 3:4 4:7 9:2 10:9 11:16 12:12 25:1 27:2 32:12,18,19,21 36:22 37:1,9,16,18 39:17 40:14 44:5 45:9,14,20 48:1 52:20 55:19,20,22 56:3,5,7 57:8 59:7 62:5,9,12 63:9,20,22 70:10,12 71:7,8,12,13,15 72:5,10,18 73:5,19 74:8,12 75:3,21 76:4 77:2,20 78:1,6,22 83:3,5 84:1 85:17 86:21 87:18 89:14 90:6,16 91:11 92:21 98:15 101:7,13,14 102:5 105:14,22 106:3 108:1 109:2 111:16,21 112:10 113:3,8 114:1,8 115:17,21 116:7 117:1 119:4 126:4,6,15 127:5,8,9,15,16 128:2 129:12 130:11 131:6,8,12,13,21 132:14,22 135:1,17 138:3,12 139:1 140:12 141:10 142:4 144:16,20 146:8 148:20 149:7 150:7 162:1,3,5 166:8 167:22 169:6</p>	<p>175:1 177:10 182:17 187:16 188:7 189:1,5 192:5,8,10 193:21 201:10 202:7 206:18 209:7 210:9 212:13 213:19,22 214:18 216:17 218:19 219:6,21 220:3,4,6 222:22 223:1 230:9 234:13 235:11 260:18,22 262:20 263:2,8,9,11,17 266:3 270:5 271:8,9,11,15 272:14,17 276:17 279:2,7,11 282:22 285:7 289:20 296:20 297:21 300:12 301:1,7 302:2,18,19 303:14 durc.ostp.gov 306:10 durc@osep.eop.gov 30:17 durc@ostp.gov 44:4 132:10 durca@ospt.gov 42:15 DURCs 179:12 DURC-type 234:21 during 10:21 30:2 47:16 48:8,11 62:3 111:12 113:19 216:7 237:22 240:17 265:14 268:4 284:22 288:12 duteous 264:17</p>	<p>duties 265:2 E EAR 269:12 earlier 28:10 68:14 69:13 86:20 100:17 107:1 112:2 119:1 124:1 128:22 145:20 150:10 159:10 164:22 183:7 203:6 218:12 267:15 273:10 281:1 298:7 early 57:12 61:8 89:8 115:10 199:16,21 200:4 240:2 262:2,6 296:1 earth 24:11 easier 230:18 easiest 36:19 152:16 easily 38:17 281:2 easy 57:14 114:14 128:10 196:3 243:1 263:10 289:14 Ebola 21:21 256:11,21 echo 195:18 304:3 eck 240:10 Eckard 202:11 economy 7:17 16:9 ectromelia 18:15 37:19 ed 127:11 editing 61:5 128:21 editors 91:8 educate 162:1</p>
---	--	---	--

<p>167:5 192:7 educated 69:14 Educating 4:7 education 10:17 111:11,16 195:17 197:15 198:5 199:7 213:16 217:22 224:11,21 228:10 234:1 258:7 259:18 265:12 293:6 educational 3:21 118:17,22 119:4 197:9 200:8 201:21 202:1,3 220:8 260:1 Edwin 2:16 112:21 113:5,14 119:17 120:1 125:9 132:6 134:20 135:5,8,12,15 136:10 137:17 138:16 140:7 144:8 151:6 152:4 275:2 288:1 289:22 299:3 effect 8:19 121:5 140:4 146:2 169:6,15 180:22 233:11 effective 99:17 201:2,16 206:6 213:10 256:8 effectively 227:10 effectiveness 66:2 68:21 99:4 257:4 effector 49:2 50:20,22 54:2 55:11 effects 26:17 27:5,14 28:5,7 36:20 47:21 62:18 63:4,18</p>	<p>68:10,13 70:2,3 71:3 72:16 73:3 76:22 77:15 114:12 efficacy 150:19 242:1 effort 45:12 80:22 163:12,20 164:2 298:7 efforts 10:17 85:7 221:11 279:18 EH 128:15 EH&S 290:15 EHS 204:12 225:17 255:13 EHSO 225:14 eight 68:2,11 133:22 161:17 eighth 160:22 161:2 either 26:11 46:17 57:1 58:15 86:5 87:2 95:8 121:19 122:6 132:1 159:14 166:19 177:21 182:20 191:4 196:19 198:11 199:13 206:16 211:7 263:6 266:17 298:9 electronic 161:12 204:6 252:9 electronically 144:4 element 41:20 75:21 96:18 elements 16:11 103:19,21 elephant 279:21 eligible 119:1 Ellis 2:21 62:14 98:4,5 119:19,22 133:5 135:19</p>	<p>136:12 143:2 144:21 else 35:15 38:22 69:1 128:1 131:17 180:15 191:22 223:17 239:19 258:12 269:20 276:8 290:16 300:4 304:1 elsewhere 96:7 email 11:16 40:21 41:4,5 177:1 200:12 203:14 207:8,11 230:19 e-mail 290:16,18 emails 42:14 230:15 e-mails 257:13 emergency 173:18 emerging 225:9 emeritus 258:11 Emory 4:18 147:12 225:14,18 250:1,20 253:12 emphasis 71:20 emphasize 9:19 11:2 45:13 104:16 116:7 262:17 empirical 300:9,19 employed 143:19 144:1,6 307:9,12 employee 307:12 enchilada 68:15 encounter 265:6 encourage 41:16 44:3 110:3 203:20 205:22 265:13 272:7 encouraged 33:5</p>	<p>64:11 237:9 encrypted 184:7 endorsed 139:4 enforcement 61:22 engage 40:22 55:22 56:12 58:6 76:8 112:4 197:21 290:11 engaged 218:22 219:12 220:16 engagement 1:4 6:9 31:17 218:11,15,17 219:4,9 294:12 engages 76:21 engaging 213:6 217:8 engineered 117:16 271:14 engineering 97:14 117:15 engrained 127:21 enhance 21:4 26:21 35:22 65:7,14 68:18 73:7 enhanced 26:12 95:8,12,14 98:12 104:13 232:8 enhancement 151:5 enhancements 96:14 97:15 172:17 enhances 69:2 enhancing 66:10 133:14 enjoyed 154:1 ensure 13:14,18 14:15 28:22 37:21 46:2 47:13 57:21 74:17 81:3</p>
--	---	--	---

<p>108:5,7,11 223:14 290:21</p> <p>ensures 118:6</p> <p>ensuring 97:16 98:9 211:2 270:5</p> <p>enterprise 85:8</p> <p>entire 29:13 90:19 91:17 158:3 201:11 205:20 221:14 282:18</p> <p>entirely 36:13 37:18 124:16 301:9</p> <p>entirety 34:13</p> <p>entities 45:12 82:6 137:22</p> <p>entity 39:15 46:4 53:4 55:15,17 59:22 60:7,9 67:4 81:7 82:8 96:3 123:10 124:21 167:18 176:5 234:19 245:17 282:14</p> <p>entrance 157:17</p> <p>environment 27:22 45:8 72:2 118:1 141:17 264:20</p> <p>environmental 4:17 16:8 169:22 225:13,16</p> <p>EPA 265:16</p> <p>epidemiological 292:22</p> <p>epiphany 286:10</p> <p>EPSTEIM 262:7</p> <p>Epstein 87:21 106:21 273:6 277:5 279:20</p> <p>equally 118:9</p> <p>equation 16:19 24:6 288:5</p>	<p>equipment 172:14</p> <p>equities 45:22</p> <p>escape 295:21</p> <p>escapes 189:18</p> <p>Escrow 189:18</p> <p>especially 44:17 102:10 108:20 174:9 184:9 246:2 261:19 262:11 275:4 277:22</p> <p>essential 119:4 299:19</p> <p>essentially 16:22 26:3,19 47:17 78:3 85:12 125:18,20 144:2 167:16 226:10</p> <p>establish 32:6 109:2,3 158:9 258:21</p> <p>established 47:14 55:14 157:5 207:3 235:4</p> <p>establishing 233:18</p> <p>esteemed 259:11</p> <p>etcetera 97:1,2 164:11</p> <p>ethical 124:14 254:17</p> <p>ethicist 61:2</p> <p>ethics 237:6</p> <p>evade 66:20 68:16</p> <p>evading 66:13</p> <p>evaluate 63:8 72:17 164:4 274:4,15 276:21</p> <p>evaluated 76:16 277:7 303:14</p> <p>evaluating 18:5 37:17 140:17</p>	<p>evaluation 37:5,11 69:15 73:3 76:10 81:21 84:15</p> <p>evaluations 280:4</p> <p>Evans 296:7,11</p> <p>event 165:21 290:3,4</p> <p>events 22:3 115:12 219:19 248:5,6,8</p> <p>eventually 212:18 233:3 272:1</p> <p>everybody 6:3 127:14 139:20 141:1 142:6 144:6 153:6 171:1 195:19 213:8 216:1 218:3 252:22 253:7 259:5 280:15</p> <p>everybody's 153:8 155:2 212:10 283:13</p> <p>everyone 6:5 11:19 31:4,22 37:12 44:8 108:8 113:15 151:9 165:7 167:9 184:17 195:13 215:22 224:4,5 242:19 266:22 270:20</p> <p>everyone's 114:10</p> <p>everything 13:4 16:4 40:4 128:18 148:3 150:1 176:5 178:3 180:19 233:13 252:10 266:9,12 269:20 275:15 293:15,16 294:14 300:4</p> <p>everywhere 16:11</p> <p>evidence 264:1 296:15,21 300:19 301:3</p>	<p>303:13</p> <p>evident 136:14 239:1</p> <p>evolution 17:14 305:21,22</p> <p>evolve 27:18 164:5 300:11 303:2</p> <p>evolved 149:11</p> <p>evolves 27:16</p> <p>ex 189:17</p> <p>exact 82:10</p> <p>exactly 42:17 102:14 146:13,15 177:6 232:6 246:17 283:15 291:12 292:16</p> <p>exam 155:14</p> <p>example 19:20 81:9 97:21 131:8 138:18 169:2,8 171:7 177:18 189:6 191:17 219:1 248:3,13 271:15 281:21 286:10 293:6 295:14 297:20</p> <p>examples 79:14 191:2 216:3,17,19 240:2 242:9 247:20,21 275:2</p> <p>exceedingly 249:5</p> <p>excellence 237:5 253:17</p> <p>excellent 274:20</p> <p>exceptional 88:18</p> <p>exciting 15:3 210:10 296:1</p> <p>excluded 50:10</p> <p>exclusion 51:15</p> <p>excuse 71:3 94:7 95:1</p>
--	--	--	--

Executive 4:17 225:13	92:2 156:9 172:4 182:17 183:13 187:7 212:4 238:7,9,10,14 262:12,18 279:12 292:2 293:13,21 304:18	123:13 128:5 231:11 287:8	201:5
exemplar 216:14		experts 10:10 11:11 12:6 60:11 108:16 128:8 219:18 224:13 225:19 234:15 235:6 262:1	eyes 189:19
exempt 46:18 50:10 134:17,22 137:21 138:5,8 253:15			<hr/> F <hr/>
exemption 135:6	experiences 11:12 154:8 175:11 182:19 194:14 256:10	explain 130:18 166:1 229:18 258:22 293:9	fabrication 8:6
exercise 46:21 47:16 137:4		explained 37:17 293:1	face 151:13 257:9 275:15
exhaust 97:18	experiment 18:12 49:10 51:6,22 53:20,22 54:1 55:12 63:9,20 65:16 70:12 71:7 72:22 76:21 77:1 82:10 87:9 92:21 142:2,4 172:9 180:10 191:5 195:2 252:20 263:1 285:1 286:12	explains 228:16,19 231:2	faces 24:16
exhausted 64:20 305:3		explains 228:16,19 231:2	face-to-face 230:18
exhaustive 200:3 219:16		explanation 230:3 245:11	facilitate 6:20 14:15
exhibit 198:13 199:12,17		explicit 90:10,11 94:11 193:15,17	facilitating 225:1
exhibits 198:8		exploit 21:5	facilities 54:19 171:8
exist 99:2		exploitation 27:15	facility 157:14,21 158:15,16,17,21 164:11 171:9 173:16 196:3 290:20,21
existence 121:18 207:4		exploited 17:22 22:7 26:14,15	facing 42:9
existential 26:7	experimental 26:17 27:5,14 28:5,6 36:20 47:21 62:18 63:4,18 65:10 67:1 68:9,11,12 70:2,3 71:3 72:15 73:3 76:22 77:15 167:3	exploration 112:9	fact 32:18 34:9 89:2 106:2 153:9 158:4 159:4 185:7 194:4 196:18 257:10 292:1
existing 18:21 22:20 67:2 99:19 123:11 143:1		exploring 154:12	facto 135:14
exists 98:10 278:6		exposed 164:18	factor 16:3 100:6
expanded 20:4		exposure 173:4,7	faculty 60:11,18 117:7,16 119:13 128:7 162:2
expanding 124:10		extended 273:21	fair 186:4,5
expansion 224:20 240:19	experiments 18:8 26:20 29:3 33:3 35:15 71:2 76:16 87:3 107:12 128:12 141:21 146:21 162:19 168:9 172:2,5,11 179:20 180:13 189:7 234:11,17 249:8 299:4,5	extension 86:18	fairly 26:18 145:6 243:1
expect 31:8 57:16 82:10 138:18 261:7,9		extensive 115:3	fall 20:19 30:5 240:4
expectation 298:8		extent 125:5 145:21	fallen 230:9
expectations 30:11 98:2		external 47:11	falls 6:2 114:8 126:14 187:16
expected 89:18		extra 210:22 306:6	falsification 8:7
expecting 215:14	expert 48:16 128:7 258:13	extraneous 215:17	familian 240:10
expedited 158:20		extrapolated 19:4	
expensive 184:6	expertise 13:10 36:6 60:8,21 69:17 119:13	extreme 62:4	
experience 10:11		extremely 258:18 259:18 274:3 304:20	
		eye-catching	

<p>familiar 6:15 43:18 67:16 110:1 158:14,15 200:14 202:14 241:5 292:18</p> <p>familiarbut 67:18</p> <p>familiarity 158:21 165:5</p> <p>famous 160:21</p> <p>fan 208:20</p> <p>fantastic 216:22 281:18</p> <p>FAQS 199:8 209:5,8,10 214:21 215:7</p> <p>Farkas 1:19 307:2,18</p> <p>farms 114:21 115:5</p> <p>FASAB 218:8</p> <p>fashion 142:11 242:7 277:3 303:4</p> <p>fatal 248:4</p> <p>favor 122:9 146:4</p> <p>favoring 7:12</p> <p>FDA-approved 134:15</p> <p>fear 16:3 139:1 140:5 278:14</p> <p>featured 202:12</p> <p>features 202:8</p> <p>February 219:21</p> <p>federal 6:6 9:13 14:22 21:8 22:17 25:6 28:19 29:20 30:11,18 31:17 33:6,14 34:8,10,12 35:2,7 37:7 38:3,16,18,21 39:6,9,16 40:11 50:8 74:19 76:1</p>	<p>78:9 80:18 82:6 86:6 87:22 89:1 112:3 116:3 134:6,8 153:20 154:1,16 186:16 197:20 198:1 205:6 207:1 211:4,5,11 254:15 267:14 268:19 269:10,15,16 282:12,16 305:2</p> <p>federally 271:14</p> <p>Feds 262:8 267:17 268:21</p> <p>feed 9:11</p> <p>feedback 11:7 15:21 44:2,18 64:12 206:1 232:16 243:14 264:15 274:14 289:15 304:5,20 306:9</p> <p>feel 7:22 43:1 69:11 152:22 154:10 183:4 192:4 206:20 215:18 256:3 279:6 306:9</p> <p>feels 141:8</p> <p>fellow 113:18</p> <p>ferret 52:21</p> <p>fictional 45:2</p> <p>field 128:7 132:16 142:4 174:17 175:16,22 301:1</p> <p>fielding 16:12</p> <p>Fifty 205:11</p> <p>figure 35:18 125:22 127:7 137:7 177:4</p> <p>figures 23:3</p> <p>file 86:18</p> <p>filter 18:4</p>	<p>filtration 97:17</p> <p>final 78:12 79:10 192:19 268:17 305:1,13</p> <p>finalize 83:21 105:1 112:7</p> <p>finally 15:12 47:22 225:10 263:22</p> <p>finance 151:13 152:1</p> <p>financial 85:21</p> <p>financially 307:13</p> <p>finding 8:12 106:15 126:10 161:20 191:13 229:16 259:16 260:18,22 285:6</p> <p>findings 91:21 97:5 105:7,8 109:8 159:17 162:18 166:8 168:14 169:9 181:16 182:7 213:22</p> <p>fine 113:4 130:22 239:5</p> <p>fingers 190:11</p> <p>finite 160:4</p> <p>Fink 26:19</p> <p>firm 49:14,16</p> <p>first 12:19 20:10 23:4 28:16 29:1 32:6 46:14 49:9 50:5 52:11,14 55:15 60:10 70:14 71:9 76:3 78:15 88:10 89:12,13,17 99:1 107:20,22 113:9,11 130:7 144:12 145:9 154:2,7 155:8,9 156:17 157:7 160:10,20</p>	<p>161:1,21 172:7 198:3 212:8 214:22 215:1 223:10 224:15 237:14,19 242:14 245:2 249:18 251:20,21 254:6 256:18 274:11 276:2 282:7 283:11 286:12 291:5 296:12,14 299:12,20</p> <p>fit 232:6 238:5,6</p> <p>fits 136:13</p> <p>five 36:3 59:12,15,18 69:11 149:14 160:5 185:16 206:11 285:19</p> <p>five-day 187:5</p> <p>flagged 131:12</p> <p>Flanagan 294:17,20</p> <p>flexibility 46:20</p> <p>flexible 27:20</p> <p>flipside 7:3</p> <p>flowchart 247:4</p> <p>flu 125:16,20 128:9,11 130:9 131:3 132:16 141:14 142:4 253:17,19</p> <p>flue 127:12</p> <p>flux 270:16</p> <p>fly 125:12</p> <p>focus 113:2 197:10,11 198:2 217:12</p> <p>focused 124:15 139:7 202:7 237:6</p> <p>focusing 257:6</p>
--	---	---	---

<p>folks 43:11,19 44:7 45:10 48:13 49:10 60:22 61:4,14,22 65:9 79:20 143:19 149:10 158:11 161:22 194:11,21 221:20 244:17 246:12 258:6 289:11</p> <p>follow-on 42:3 84:10 86:12</p> <p>follow-up 291:21 292:5</p> <p>food 16:8 20:1,3 114:12 116:7</p> <p>foot 241:20</p> <p>footnote 243:2</p> <p>fora 103:2 104:8,9</p> <p>force 159:2,5,9,14,16, 18,19 160:10,18 163:11,17 169:14 175:9 183:3 186:21 187:18 280:10</p> <p>forcing 194:20</p> <p>forefront 231:15</p> <p>foregoing 307:3,4</p> <p>foregoing/ attached 308:9</p> <p>foreign 114:19 283:3</p> <p>forever 250:10</p> <p>forgive 111:4</p> <p>forgot 298:3</p> <p>forgotten 267:17</p> <p>form 89:11 121:22 122:13,17,18 126:19 168:15 183:11 203:12 204:6 213:3 240:15</p>	<p>245:15,20 283:18</p> <p>formal 102:9 188:14 273:18</p> <p>formally 273:13</p> <p>former 155:11</p> <p>forms 117:6 121:8,9,12 214:17</p> <p>formulae 37:7</p> <p>formulating 12:21</p> <p>forth 83:3 137:12 150:21 182:19</p> <p>fortunate 188:5</p> <p>fortunately 132:13 242:17</p> <p>forum 4:19 265:11 268:11 295:1</p> <p>forums 211:1</p> <p>forward 9:16 15:20 27:19 29:5,17 30:14,22 44:22 59:8 67:20 87:3,11 105:2 106:9 108:8 113:17 121:3 145:16 149:18 191:16 236:18 240:7 275:18 297:7 305:17 306:9</p> <p>forwarding 118:16</p> <p>forwards 230:19</p> <p>fostered 281:18</p> <p>fostering 14:16</p> <p>fought 101:16</p> <p>foundation 8:10 236:20</p> <p>founding 291:20</p> <p>fourth 228:14 277:1,6</p>	<p>frame 154:2 175:14</p> <p>framework 14:9 25:4 107:11,14</p> <p>framing 47:9</p> <p>France 14:3 281:21 291:2,5,21 292:9</p> <p>Francisella 46:10 48:18,22 50:9 65:1,14 93:12 232:1</p> <p>frank 44:18</p> <p>frankly 11:18</p> <p>free 43:1 54:20 153:1 206:20 215:18 278:18 306:9</p> <p>freely 144:15</p> <p>French 14:8 219:2 291:6 296:5</p> <p>frequency 295:3</p> <p>frequently 201:4 209:16 289:9</p> <p>friend 215:6</p> <p>front 90:4 91:6 106:13 114:10 129:2 188:13 267:16</p> <p>frontline 100:18</p> <p>fronts 11:10</p> <p>Frothingham 4:14 225:3 236:5,13 262:17 264:14 265:19 266:4</p> <p>fruitful 291:7</p> <p>frustration 255:21</p> <p>fulfill 123:5,21</p> <p>fulfilled 122:2</p> <p>full 31:18 41:12 127:9 149:11 178:12 278:18</p>	<p>fullest 154:13</p> <p>fulltime 164:10</p> <p>fully 21:15 99:7 138:18 139:20 147:2</p> <p>fun 44:15,16 248:1 264:15</p> <p>function 22:11,19 25:12 27:20 42:4 54:1 58:9 107:10,12 120:22 124:10 141:15 144:13 216:20 300:12 303:7</p> <p>functions 58:8 160:11 177:7 199:21</p> <p>fund 102:1 194:22</p> <p>fundamental 90:22 269:9</p> <p>fundamentally 191:6</p> <p>funded 81:14 82:22 86:13 89:20 106:13 107:4 117:7 125:19 237:4 261:19 265:18 280:7,9</p> <p>funder 76:7 91:2 280:13</p> <p>funders 76:1</p> <p>funding 30:11,18 32:13 33:14 35:2,7 37:8 38:9,18,19 39:2,9 41:1 59:4,11 70:8,11,20,21 71:4,10 72:19,21 73:19 74:3,6,10,17 76:2,9 77:7,17 78:1,2,12 79:1,8,9,14,16,2</p>
--	---	---	---

2 80:5,13,18 81:11,21 82:6,7,10 83:4,6,11,15,16, 17,20 84:2,22 85:15 86:11,18,21 87:1 88:11,12,16 101:22 103:8 104:3,22 106:16 107:3,6,11,18 108:3 112:3 116:3 125:1,17 142:16 151:14,20 152:1 157:11 159:17 160:16 181:17 184:9 186:16 260:20 262:6 272:8,9 273:4,15 281:10 282:10,13 283:1,2 297:19 298:19 funds 81:11 84:14,19 266:9,12 282:16 297:18 298:18 funnel 52:22 FU-SHE-A- KOWA 92:2 future 23:10 202:3 208:4 217:17,22 223:15 236:18 272:21 288:19 298:14 <hr/> <p style="text-align: center;">G</p> <hr/> gain 22:11,18 25:12 27:19 42:4 107:10,11 120:22 124:10 141:15 195:3 218:19 300:12 303:7 gained 21:1 game 186:4,5	192:13 gap 140:15 gaps 219:6 220:19 Gary 186:9 gastroenterologist s 190:16 gatekeepers 244:10 245:2,21 gathered 17:15 GCO 150:5 gear 212:11 geared 237:19 gene 18:14 general 7:14 24:22 127:10 146:14 185:19 197:17 198:5 199:10,15 203:7 205:10 209:4 210:13 214:11 220:10 222:13 223:8 246:13,20 265:1,2 268:8 271:12 272:3 278:13 generally 8:21 46:14 206:16 223:16 286:15 generates 17:2 generation 167:5 generic 31:7 genes 49:2 275:3 genetically 295:16 genome 19:6 genotype 125:22 genre 18:13 genuine 234:21 Georgia 253:19 germ 256:17 Germany 295:14 gets 49:3 91:7	102:9 148:5 195:5 201:12 217:5 238:3 251:3 257:7 280:1 getting 61:21 89:20 133:18 134:7 136:19 137:1 149:15 166:19 181:15 186:3 191:22 196:5 223:13 250:19 256:8 257:4,14 267:7,8 279:20 284:3 285:5 287:1 given 38:15 97:4 157:19 242:12 243:7,10 283:3 gives 220:17 221:2 248:21 giving 184:7 glad 151:3 global 14:20 166:7 218:13,19 219:5 220:6 294:4,12 gloss 278:9 gloves 256:12 glow 256:17 GMOs 295:17 goal 22:9 24:15 46:19 141:4 213:1 305:16 goals 197:15 198:4 214:1 gone 52:1 62:4 237:16 250:11 256:15 261:1 gosh 62:7 gotten 86:4 136:17 257:15 governance 52:13 108:16 157:4,5 158:7	governing 22:21 government 9:7 10:1 13:5,6,7,10,11 14:8,22 15:1 19:5 20:11,17 21:9 24:15 25:6,16 27:7 29:20 31:17 32:13 33:7 34:12 36:5 38:3,5,12,16,18, 19,21 39:6,13,16 40:12 46:6 47:10,11 69:20 81:3 85:14 86:6 88:7 89:1,11 112:3 114:3 121:2 194:11 199:3 204:21 208:18 214:8 221:4 238:6 240:5 254:15 263:8 270:8 273:14 280:5,9,18 299:15 303:12 305:14,21 Government- funded 85:9 governments 15:1 220:9 government's 278:19 Governments 9:12 grad 34:7 graduate 172:2 gram 136:4 grant 33:14 64:19 80:10 86:2 90:5 116:2 163:20 188:15,18 245:4 280:9 303:15 grantee 223:3 granting 163:10,21 164:2
---	--	---	--

<p>187:2 188:2 grants 57:9 80:4 125:17 160:12 168:9 255:16,19 258:6 298:15 graphics 201:6 grappling 47:12 great 7:16 29:14 30:6,12 35:10 44:13 53:11 54:11,21 61:3,11 64:19 65:12 79:19 112:11 118:21 151:6 170:18 213:3 214:20 224:9 225:21 246:16 270:2 271:20 273:8,11 274:22 276:16 283:12,19 286:11,13 297:11 304:10 305:7,8 greater 274:1 green 199:9 Greenore 51:1,21 52:1,4 53:2,13 55:9,19 56:2 58:2 60:3 Griffin 296:19 gritty 146:11 groan 213:8 grounds 7:14 group 9:6 48:6 118:1 141:7 148:3,9 152:14 154:5 168:13 171:1 251:6 257:16 groups 125:19 152:20 205:5 238:11 242:14 244:18,20 245:1 255:14 258:10</p>	<p>grow 284:14 growing 299:14 guarantee 228:3 259:20 guaranty 184:4 guards 157:17 guess 125:12 215:15 272:2 288:8 guests 296:5 guidance 18:1 93:13 104:4 119:15 209:13 215:8 219:20 276:14 guide 194:10,12 214:4,13 215:2,3 guidelines 44:14 151:2 171:20 190:7 200:18 206:10 222:21 236:19 266:10 285:20 guiding 44:19 45:5 gun 63:3 gut 284:15 guy 301:20 guys 185:9 187:7 269:10 <hr/>H H5N1 20:14 148:22 177:7 180:1 181:19 H5N1/HPAI 179:19 H5N2 114:17 H7N9 131:3,9 138:21 HA 178:17 half 206:16,17</p>	<p>hall 145:5 301:4,20 hallway 152:12 HALO 178:9,11 halt 24:7 halted 227:10 hand 23:13 44:9 203:13 254:12 280:18 handful 189:7 295:4 handle 102:6 143:14,15,16 223:16 287:5 handled 93:14 142:22 handling 97:17 134:19 287:16 hands 183:14 handshake 52:14 hands-on 256:15 handy 164:10 hanging 236:6 happen 12:19 20:3 70:13 81:5 84:18 127:10 132:17 140:5 180:12 283:4 happened 81:4 180:20 242:4 282:21 302:10 happens 37:14 38:4 64:6 86:14 112:10 happily 104:15 happy 11:15 191:9 194:12,14 201:18 202:22 268:14 274:7 291:19 hard 39:3 166:11 167:15 186:3 192:12 194:22</p>	<p>195:2 222:12 238:18 284:3,17 297:22 harder 163:4 190:13 267:7 hardly 137:15 harm 19:21 20:8 26:9,22 28:18 248:17 275:1 harmful 17:5,18 68:18 277:3 harmless 271:13,16 harmonious 90:8 harmonized 13:15 Harris 199:1 206:7 285:14 Harvard 151:10 270:21 271:3,5,8 hat 132:8 Hauck 3:18 176:14,15 188:5 189:14 190:14 191:17 Hauk 148:18 156:7 haven't 40:9 102:14 143:3,11 183:9 191:10 244:16 264:18 281:22 302:12 having 28:18 34:6 52:10 55:9 62:6 69:19,20 70:3 82:3 92:20 100:8 102:18 105:6 107:22 119:10 122:9 151:20 153:18 161:16 183:13 200:5 218:2 256:1 258:11 262:12 274:8 284:2 286:15 288:20 300:22</p>
--	---	---	--

<p>hazard 88:2</p> <p>hazardous 122:22</p> <p>hazards 123:7</p> <p>head 51:17 149:17 194:18 256:20 262:14 263:6</p> <p>headline 115:18 116:4</p> <p>heads 90:2</p> <p>health 1:3,11 2:5 4:17 7:17 16:6 17:9 18:3 19:8 21:5 26:9,13 35:22 43:15 71:22 73:11 75:18 92:16 96:19,22 97:11 98:13 100:9 104:16 105:9 108:21 118:2 128:19 147:14 161:4,18 162:9,16 164:14 165:19,21 166:3 170:1 173:3,9 174:18,19 195:16 225:13,16,18 258:4</p> <p>healthcare 250:15</p> <p>hear 8:15,18 10:7 11:3,11,15 12:15 16:17 29:13 30:1 41:18 43:11 151:22 211:20 212:19,20 213:2 221:11,16 260:9 283:12 287:11,19 304:5,7,8,15</p> <p>heard 30:4 51:7 58:12 70:7 72:11 188:3 209:20 211:19 259:22 269:7 273:10 283:14 295:13</p>	<p>305:8,9</p> <p>hearing 8:20 11:13 55:16 87:5 142:13 283:17 307:3,5</p> <p>heat 196:2</p> <p>heated 262:21</p> <p>heaters 84:9 156:8</p> <p>heath 7:16</p> <p>heavily 165:5</p> <p>heightened 157:18</p> <p>held 18:12 21:16 259:14 282:4</p> <p>hello 11:21 167:9</p> <p>help 13:12 14:10 15:8,11 25:18 30:20 33:22 105:18 175:14,15,16 194:12,21 205:2 213:18 221:17 257:21 288:6</p> <p>helped 128:6 154:2</p> <p>helpful 215:10 220:14 221:13,16 270:22</p> <p>helping 14:14 262:9 299:18 306:5</p> <p>helps 140:11 212:18 254:14</p> <p>hence 153:20</p> <p>HEPA 97:17</p> <p>hereby 307:3 308:4</p> <p>here's 39:17,18 99:18 104:2 134:6 213:8 219:14 242:3 244:11,22 246:12 256:12</p>	<p>257:19 263:17</p> <p>hereto 307:13</p> <p>heretofore 89:10</p> <p>he's 48:19 49:1 50:13,15,16 53:22 55:5 64:19,20,21 65:1,3 68:16 113:11 119:20 125:10 155:9 156:9 266:16,17,19 306:8</p> <p>hey 52:1 67:5,11 240:12 264:10 265:15 288:16</p> <p>HHS 2:12 12:21 25:3 31:11 107:10</p> <p>Hi 43:13 87:21 142:12 147:11 151:9 185:3 262:7 269:5 283:10</p> <p>hierarchy 104:14</p> <p>Higgs 4:11 224:15,16 225:20 226:7 236:1 260:15 261:3</p> <p>high 21:21 95:10,11 114:15 120:22 124:11 125:22 127:12,18 171:3 180:8,9 249:6 270:17</p> <p>higher 33:11 171:13 257:16 289:8</p> <p>highest 101:20 212:14</p> <p>high-level 176:10</p> <p>highlight 31:7 116:6 301:8</p>	<p>highlighted 122:14 268:4,13 294:2 299:11 302:6,10</p> <p>highlighting 280:21</p> <p>highly 25:4 41:15 43:3 48:5 126:3 131:6 132:4 156:5 265:13</p> <p>high-path 265:21 266:6</p> <p>high-risk 250:13</p> <p>highway 303:14</p> <p>hint 52:6 68:15</p> <p>hire 296:19</p> <p>historically 109:4 237:4</p> <p>history 114:20 153:20</p> <p>hit 252:17</p> <p>hits 92:4 202:18</p> <p>HLRA 177:14</p> <p>ho 48:16</p> <p>hold 83:10 87:9</p> <p>holding 280:14</p> <p>holds 156:1</p> <p>home 23:17</p> <p>Homeland 4:9 116:12 224:7 262:8</p> <p>honest 168:20 267:21</p> <p>honestly 261:3</p> <p>honor 225:21</p> <p>hook 281:16</p> <p>hope 36:3 42:17 69:20 88:10,17 154:10 190:10 229:14 239:22 242:7 243:11 274:11</p>
--	---	--	---

<p>280:11,14 hopefully 23:17 24:17 43:12 98:17 176:1 188:16 233:3 242:6 hopes 233:4 hoping 16:17 44:17 52:9,18 261:5 276:1 horizon 138:22 hose 46:1 hospital 3:4 125:11,14 128:18 152:17 host 66:11,14,20 68:16 69:3,12 124:10 130:8,21 179:9 232:9 hosting 282:7 host-specific 177:6 hot 210:13 hour 267:9 hours 198:16 228:9 house 2:8 5:4 10:7 12:10 14:4 41:12 96:20 155:1 301:16 housed 158:3 Howard 157:10 HPAI 178:16 180:5 HS 147:20 huge 157:6 284:6 human 7:16 8:3 19:8 26:9 43:15 107:21 114:9 119:6 150:15,16 222:20 292:11 hundreds 243:22</p>	<p>Hurwitz 12:20 Hyde 157:8 158:9 159:4,5 Hygiene 168:6 170:8 hyperlink 229:13 hypothesized 65:13 hypothesizes 65:6 hypothetical 116:4 <hr/> I <hr/> IACUC 61:16 108:10 140:13 144:1 189:16 226:21 230:10 232:15 245:5 261:9,16 286:14 IACUCs 170:17 176:8 231:17 232:20 234:9 IBC 49:3,5 51:1,2 52:2,4,18 57:8 67:16 108:11 109:12,15 110:1,2,3,10 120:18 123:1,11 127:14 130:3 140:13 143:1,7 144:2,12 145:1 146:9 147:2,6,14,16,18 148:10 149:14 150:1 151:21 158:13,14 159:15,19,21 161:11 167:19,22 169:10 176:7 182:6 187:17 188:12 189:3,4,9,16 200:19 206:6,17 230:10 232:14 237:9 238:9 239:7 252:19</p>	<p>254:3 258:3 261:9,15 271:5,10 283:22 284:3,18 285:7,14 286:1,14,16,21 287:3,9,13,14 IBCs 109:4 110:7,11 159:16,17 170:16 206:9 231:16 232:19 234:9 285:15 289:6 300:4 IBC's 158:9 Icahn 3:19 148:18 ICDUR 53:3 58:3,8,19,20,21, 22 59:2,9,18 72:19,20 77:16 80:2,14 81:10,20 246:6 ICDURs 218:3 icter 283:19,22 I'd 61:14 142:13 151:22 182:14 183:4 184:22 248:1 249:18 276:6 295:4,22 idea 6:16 188:8,9 218:1 ideas 254:8 296:3 identifiable 150:5 identification 19:11 32:8,12,17 114:6 identified 26:1,6 37:9 56:8 70:2,3 105:14 116:11 138:13 189:3 220:1 240:7,8 242:13 identifier 228:1 identifies 189:9 identify 33:17</p>	<p>43:1 116:20 117:1 126:16 162:2 188:22 213:19 219:6 240:16 246:10 262:2 265:5 302:20 identifying 113:2 245:3 idle 196:1 IDUC 182:3 188:11 IDUCC 149:11 IDURC 8:17,22 10:12,15 iffy 102:10 IH 210:20 IL4 18:14 37:19 I'll 31:6 71:15 83:15 84:3 100:1 109:16 125:7 144:21 152:6,17 155:8 156:14 161:9 167:6 168:20 177:1 197:6 221:8 236:21 249:14 272:2 281:8 297:14 illness 114:9 illustrate 45:9 I'm 6:4,12 16:17 34:7 42:20 43:8,14 59:14 65:11 70:16 71:7 74:22 76:12 80:4 81:4 87:18,21 88:2 95:1 96:21 99:9 101:12 102:13,17,19 107:16 109:13,15,22 110:10 125:12 126:7 134:1,4 139:3 143:12 144:11,13,17</p>
--	--	--	--

<p>146:4 147:7 151:3 153:7,10,22 154:20 155:2,3 156:18,21 176:18 186:10 187:6 188:5 189:14,15,16,21 191:9 192:1 195:14 210:13 214:2 222:8 225:18,22 226:1,6 227:12 228:13 231:1,8 236:14 240:2 246:14 248:19 250:1,2,20 255:9 258:13 264:16 266:15 267:13 268:14 269:5 270:18 276:5,11 277:22 278:1,2,5,9 279:7,20 280:13 282:5 283:17 284:2 285:4,12 287:4</p> <p>imaginations 305:3</p> <p>imagine 27:7 133:1 161:12 194:11,13 239:17 262:20</p> <p>immaterial 283:8</p> <p>immediate 161:3</p> <p>immediately 123:1 188:10 232:3 277:3</p> <p>immune 178:7</p> <p>immunity 18:21 66:14,20 68:17,20</p> <p>immunization 68:21</p> <p>immunomodulator 19:1</p>	<p>impact 47:5 95:11 128:19 166:17 220:1 228:17 255:2,10 260:20</p> <p>impacts 26:13 157:4</p> <p>impede 143:16</p> <p>impediment 125:4 301:17</p> <p>implement 15:16 27:10 32:7 40:17 59:20 92:13 93:6 95:10 138:15 213:12 221:13,17 284:13 299:12 300:10</p> <p>implementation 11:6,10 39:7 42:2,3 214:10 272:20 284:20 300:14 302:2 304:15</p> <p>implementations 41:9</p> <p>implemented 24:21 145:6 212:3 226:15 261:21 282:1 297:3 299:22</p> <p>implementing 10:12 13:17 23:15 38:13 41:16 42:9 107:17 210:2 212:4 214:6 215:6 274:8 284:14 295:6 299:21 304:6</p> <p>implications 19:9 20:20 101:14 270:9</p> <p>importance 92:3 124:12 174:2 202:10 207:2,15 211:17</p>	<p>important 7:8,15 8:7 27:2 75:21 76:2,14 96:18 97:4,22 106:12 116:6,15,19 118:9,18 125:6 138:7 143:6 146:19 151:12 154:13 156:19 164:22 167:6 174:14 175:10 194:4,9 198:20 208:11 211:8 214:6,10 233:20 247:9 258:18 259:18 260:4 263:19 264:13 273:17,20 274:4,14 290:11 294:4 300:9 304:5</p> <p>impose 89:1</p> <p>impression 237:15</p> <p>improve 264:19</p> <p>improved 104:18</p> <p>improvement 250:17</p> <p>improvements 16:7</p> <p>inactivated 21:15 22:1</p> <p>inaudible 35:4 49:12,15,20 51:9 52:8 53:10,16,18 54:6,9,15 55:1 58:11 59:1 61:15,19 62:1,15 65:22 66:6,8 67:13 70:6,15,17,19 74:4,14,15,21 75:1,8,11 76:19 77:4 78:10,14,16 79:4 80:3,8 81:8,9,14,18 82:16,20 83:5,6,7,8,13</p>	<p>84:12,17,19 85:10,12,20,21,2 2 86:1,3,4,5,7,8,18 ,19 87:13 88:6 94:1,3,19 95:13,17 97:9 98:5,8 100:10,12 105:11 119:17 132:12 133:4 134:11,13 135:3,11,18 145:11 146:22 147:2,3,4,6 184:18 195:8 222:3 236:3 249:15 291:22 292:4,6,10</p> <p>inbox 207:8,12</p> <p>incentive 253:10</p> <p>inception 139:17</p> <p>incident 98:10,14 124:12</p> <p>incidents 18:7 21:12 173:19 264:4</p> <p>include 60:13 104:19 246:11 252:10</p> <p>included 141:8 214:21</p> <p>includes 32:9</p> <p>including 94:6 104:17 117:19 207:1 225:17 233:15 252:11 292:6,7</p> <p>incorporate 61:1</p> <p>incorporated 242:22 246:9 300:16</p> <p>incorporating 167:16</p> <p>increase 177:21 276:6</p>
--	--	---	---

<p>increased 130:22</p> <p>increases 69:8 117:11 122:20</p> <p>increasing 66:11 96:13 178:1 206:12 295:3</p> <p>increasingly 295:5</p> <p>incredible 305:6</p> <p>incredibly 175:10 184:6</p> <p>incrementally 206:12</p> <p>incumbent 107:2</p> <p>incursion 114:20</p> <p>indeed 178:21 300:14</p> <p>Identifying 2:14</p> <p>independent 125:18 139:12</p> <p>independently 229:10 235:14</p> <p>indicated 254:5</p> <p>indicates 54:17 99:10</p> <p>indication 151:3</p> <p>indications 129:9</p> <p>indicators 231:18</p> <p>individual 45:4 59:3 95:15 111:17 131:19 140:10 155:21 157:22 168:16 190:3 297:1</p> <p>individually 168:10</p> <p>individuals 52:19,22 101:21 148:9 169:17 170:20 175:11 190:10 218:21 220:2</p> <p>industry 14:21</p>	<p>15:5,8 114:16 151:14 166:17 219:14</p> <p>infected 65:15 131:5 191:21,22</p> <p>infection 65:20</p> <p>infections 93:20 225:9</p> <p>infectious 94:4 168:2 173:2 252:11 292:22</p> <p>infective 66:11</p> <p>infects 48:18 130:10</p> <p>inflamed 180:16</p> <p>influence 107:13 296:3</p> <p>influenza 19:16 20:14 21:19,20 25:4 107:13 114:15 121:1 126:1,3 169:3 229:20 232:2 254:1 265:22 292:6 301:6,22</p> <p>inform 86:21</p> <p>informal 219:21</p> <p>information 17:2 19:13 22:14 28:12 35:14,19 51:20 52:5 53:1,13,15 60:1 61:6,9 71:18 92:15 99:9,10,20,22 101:15 129:1 132:2 142:8 144:5,14 146:17,19 162:8,13,14 170:2 179:6 183:1,10,16,18 188:2,16 201:16 206:12 207:7 208:1,2,4,5,10,1 3 209:11,13</p>	<p>214:14,18,20 215:13,16 220:21 221:1 222:5 232:11 233:7 245:2 276:5,9 277:19 291:10 303:2</p> <p>informative 303:19</p> <p>informed 207:5</p> <p>informing 234:8</p> <p>infrastructure 116:11 170:5 249:2</p> <p>inhibiting 7:5</p> <p>inhibitory 100:15</p> <p>initial 116:13,15 160:12 187:20</p> <p>initiate 234:18</p> <p>initiated 103:1 286:1</p> <p>Initiative 235:10</p> <p>injectable 180:14</p> <p>innate 178:7</p> <p>innovative 135:21</p> <p>input 24:19 25:15 67:18 76:9 109:10 197:21 211:3</p> <p>Input/Future 4:19</p> <p>insert 205:17</p> <p>in-shower 97:20</p> <p>inside 66:11</p> <p>insights 236:17</p> <p>insist 166:8 241:17</p> <p>inspection 253:5</p> <p>inspections 118:3,12 253:6 288:12</p> <p>instance 149:7 253:18 280:12</p>	<p>instances 23:6 172:8 191:7 193:12</p> <p>instantaneously 188:17</p> <p>instead 176:7</p> <p>institute 2:5 4:12 224:17 235:10 292:2</p> <p>instituted 140:6</p> <p>institutes 1:3,11 195:16 235:16</p> <p>institution 28:2 29:8,19 31:19 32:1,11,15 33:5 35:7 38:5,6 39:10,12 40:13 45:4 56:11 57:17 58:8,10,17 72:11 75:22 76:7 77:16 84:1,13,22 85:7,14 86:14 88:14,22 104:21 110:9 111:15 118:19 129:13 131:20 133:1 174:4 176:11 190:21 201:9,18 202:4 205:12 223:14 228:11 272:7 280:13 282:7 283:3 302:14,20 304:11</p> <p>institutional 1:5 2:6,14 3:6,19,22 4:7 22:18 25:1,8 27:1 31:12,21 33:18,19 36:16 38:7 39:15 41:17 44:5 45:9,20 46:4,5 49:4 52:11,12,20 53:4 55:15,17 57:7 58:4 59:10,21 60:7,9 61:17 67:4 87:5,14</p>
---	--	---	--

<p>92:11 109:19,20 111:16 113:21 114:4 116:19,22 117:20 121:3 123:10,14 142:17 149:9,13,20 153:15 154:4 167:18,21 201:8 205:16 209:8,9,11 215:19 218:1 221:5 233:16 234:18,19 249:9 273:12 299:17</p> <p>institutions 8:17 27:9 28:20 30:14 31:6,16 32:6 34:21 35:1 38:12,22 39:7 40:22 46:3 64:11 89:15 90:3 129:10,11 134:13 167:20 171:15 196:16 197:2 200:11 203:17 215:5,10 220:9 221:13 222:14 243:20 273:8 279:13 280:2 287:3,16,19 297:2 302:17 303:15</p> <p>institution's 296:14</p> <p>Institutions 213:11</p> <p>instruct 164:17</p> <p>instrumental 12:21</p> <p>intact 178:13 180:4</p> <p>integral 92:16 111:9,10</p> <p>integrate 24:19</p> <p>integrated 17:19</p>	<p>108:7</p> <p>integrating 14:16 119:3 303:7</p> <p>integrative 250:14</p> <p>integrity 154:11</p> <p>intellectual 6:19 37:5</p> <p>intelligent 191:13</p> <p>intend 126:19 183:6 221:14</p> <p>intended 202:1</p> <p>intensive 300:1</p> <p>intent 251:1 252:7,14</p> <p>intention 21:4 244:2</p> <p>intentional 162:12</p> <p>intentionally 162:9</p> <p>inter 136:21</p> <p>interacting 255:14</p> <p>interaction 89:16 273:17</p> <p>interactions 153:20 179:9</p> <p>interactive 10:13 43:22 44:16 45:7 48:5 210:11 217:7 219:10</p> <p>interagency 9:13 276:20</p> <p>interest 26:5 40:4 110:5 148:13 171:1 177:17 182:14 218:20 252:12 255:16 265:8</p> <p>interested 8:20 48:17,19 64:22 65:3 87:5 142:13 146:12 178:6,13,15,20 186:6 218:22</p>	<p>229:14,15 260:15 277:22 287:19 295:6 307:14</p> <p>interesting 6:19 18:18 101:3 151:18 174:7 192:20 202:6 222:11 240:11,13 248:2 253:12 255:12 287:10 291:7</p> <p>Interestingly 237:14</p> <p>interests 220:3</p> <p>inter- governmental 219:13</p> <p>interim 143:9</p> <p>internal 182:8 270:7</p> <p>internally 27:7 69:19 102:18</p> <p>international 14:2,12,17 28:21 218:11,15,17,21 219:4,8,10,11,17 220:6,17 231:4 282:12,13,18 291:4 294:1,3,7,11,19</p> <p>internationally 218:19</p> <p>internet 103:18,20,22 104:1</p> <p>interplay 269:19</p> <p>interpretation 27:5 29:4 47:6 88:13 89:2</p> <p>interpretations 82:4</p> <p>interval 213:15 286:16</p>	<p>interventions 69:7</p> <p>intra 136:21</p> <p>intra-entity 136:20</p> <p>introduce 12:9 53:3,4 113:10 153:11 155:5,8 224:13</p> <p>introduced 18:14 48:15 99:12</p> <p>introduction 2:3 199:17,18 202:5,13,16 203:10</p> <p>introductory 226:10</p> <p>inventories 165:3 181:5</p> <p>inventory 95:18 165:1 181:12</p> <p>investigational 100:4</p> <p>investigator 33:10 52:15,17,18 56:8 64:16 67:19 100:15 119:2 130:19 139:10 140:16,22 201:12 204:20 226:17 228:20 230:15,20 231:8 234:20,22 286:4</p> <p>investigators 34:4 46:2 56:15,19 104:15 120:18 121:16,18 123:15 125:18 140:10 165:9 166:18 200:21 203:9,14 227:16 230:14 231:6,7 232:5,12,17 241:11 250:20,22 265:3 286:17 290:17</p> <p>investigatory</p>
---	---	--	--

<p>127:6 invitation 120:2 156:17 167:11 210:9 invite 230:13 249:19 267:14 invited 211:12 232:18 291:6 inviting 113:16 invoke 194:7 involve 47:18 50:6 73:1 192:10 240:5 245:10,14,15 265:21 266:5 272:6,18 282:14 involved 8:6 9:3,15 21:13 45:12 61:8 113:7 114:5 126:11 140:20 156:5,11 160:11 173:18 189:1 198:17 217:21 226:21 229:17 232:18 262:9 266:5 272:11 282:16 294:11 involves 72:13 77:13 122:15 163:8 231:22 269:14 involving 117:1 200:16 201:7 216:16 290:8 inwardly 25:6 ion 99:10 247:4 IRB 107:22 108:10 109:12 128:16 135:13 147:7 189:17 245:4 286:15 IRBs 109:4,18 170:17 IRB's 61:1</p>	<p>IRE 37:3 53:14 55:16 56:1,9,12 60:1 62:6 63:8,11,19 64:3,9,13 67:14,18 68:2 69:16 70:2,4 71:11,12 72:3,9,13,16,18, 20 73:5,17 74:2,10,11,17 76:22 77:8,18,22 79:6 80:2,15 83:12,17,18,19,2 1 84:1,14 85:1 86:20 87:16 92:21 93:1,4,10,16 95:22 98:18,22 99:11,18 101:4,8 108:11 109:1,11 110:13,20,22 112:6,10 144:13 147:10 216:8 217:1 244:7 260:17,22 282:1 286:2,14,21 287:4,8,13 298:5 303:14 304:12 IREs 36:2,15,17 215:8 296:13 IRE's 39:14 59:5 73:2 74:18 77:18 302:22 irrespective 111:19 ISATAC 154:3 isn't 40:2 78:1 192:5 271:5 ISO 250:7 issue 57:12 66:14 88:8 91:4 102:14 150:9 185:4 192:16 195:18 196:22 197:17 199:18 200:3,5 202:5,10,15</p>	<p>203:4,7,11 205:6 206:14 211:20 212:5 218:13 223:5,7 244:11 247:15 248:14 276:18 278:11 281:5 282:10,20 283:7 287:5 294:13 298:14 302:12 issued 210:17 213:9 issues 8:2 9:8,9 41:11 47:10 115:4,9 119:8 126:21 148:10,17 185:11 201:13 210:12 211:19 218:20 241:9 257:10 275:22 284:9 295:2,7,18 301:2 ITAR 269:12 item 195:10 ITEM/SPEAKER 2:2 3:2 4:2 5:2 items 32:10 198:3 iteration 199:22 200:7 203:6 it'll 145:6 202:19 it's 8:7,9,11 10:1 12:5 15:18 17:9,16 23:15 26:5 27:2,6,7 28:15 32:4,14 34:3 36:9,14 37:1,16 38:1 41:5 42:9 43:17 50:2,4 54:11,15 68:7 72:9 76:2,5 78:5 83:3,5 84:1 86:21 87:16 89:16,17,21 90:10 92:7 94:10 97:4 98:14 99:16 101:13,21</p>	<p>102:4,10,16 106:6,11 108:2 112:13,22 113:11 114:14 116:19,21 120:4 124:21 127:20 128:4 129:13,17 131:4,5,6,7 132:6 133:20 134:14,15,18 135:2,13,14 136:2,10,16 137:14,18 139:5,9 140:20 142:9 143:5 144:3 145:7,21 149:7,13,14 150:6,8 151:21 152:1,17 157:13,14 158:13,20 161:8 162:7,21,22 163:7,20 166:16 167:15 168:19 169:1,18 177:11 179:4 180:9 182:5,17 184:6,10,21 185:8,20 187:8 189:11,12 190:13 194:17 196:3,5,12 197:13 198:6,15,17,18 200:22 201:3,4 202:6,12,15,21 204:2,4,17 205:10 207:6,7,8 208:21 209:22 210:10,11,12 211:9 212:2,10 213:9,10 214:8,10 215:7,19,21 217:2,4,7,21 218:11 219:15 222:11,12,21 223:21 225:21 226:19 227:4 231:5 233:1,7,20</p>
--	---	--	--

<p>234:14 235:13 244:14 246:3 247:1,22 248:11,12 251:6,8,11 252:2,17 253:3 255:6 256:18,21 257:6,8,9,14,18 258:3,4,5 259:4 261:8,19 263:1,9,11,13,17 264:6,7 265:10 266:5 268:22 270:2,16 271:6,21 272:3,4 273:17 274:20 275:6,10,13 276:17,19 277:13 278:4,5,16 279:6 280:18 282:6,9,10,22 283:8 284:2,5,9,16 285:11,22 288:14,21 289:14 290:11 291:16 292:14,15 293:17,20 294:13 296:1 297:5,15,16,22 298:5,14 299:13,21,22 300:9 301:2,3,8,9,13,1 4,17 304:4</p> <p>I've 42:2 71:5 141:10 153:17 154:8 228:12,14 246:18 259:7 268:12,15 269:7 271:21 283:14 299:11</p> <hr/> <p>J Jameson 48:15,17 50:13 51:21 52:6,10 53:21</p>	<p>55:10,12,17,20 58:1,5,6 59:17,22 62:3,20 63:5 64:4 66:19,22 70:5 93:3,11 96:10,11 97:13 99:8,21 101:4 104:2</p> <p>Jameson's 52:1 54:18 62:10 63:12,20 64:18 92:20</p> <p>Janelle 12:20 13:3</p> <p>January 288:14</p> <p>Jeffrey 87:21</p> <p>Jerry 87:18 89:9 90:9 106:21 262:7 299:10 302:6</p> <p>job 40:15 42:12,13 47:9 149:15 165:22 185:6 208:9,12 216:22 224:12</p> <p>jobs 196:6 284:1</p> <p>Joe 3:11 100:8 142:12 151:11 153:10 154:16 155:8,14 174:13 187:13 196:9 281:1 297:16</p> <p>join 15:8</p> <p>joined 48:16</p> <p>joining 43:20</p> <p>jointly 280:13</p> <p>joke 182:4 248:12</p> <p>Joseph 3:14</p> <p>journal 35:13 91:4,7 92:4 103:10</p> <p>Jude 3:4 125:16 126:16 127:19 128:10 131:10 141:12</p>	<p>Jude's 125:11</p> <p>judgment 88:13</p> <p>July 1:8 196:1</p> <p>jump 48:4,14 261:17</p> <p>jumped 63:2</p> <p>jumping 58:19 179:10</p> <p>June 269:11,13</p> <p>justification 69:1</p> <hr/> <p>K Kanabrocki 3:14 155:8 156:14,16 175:20 185:22 186:18 189:10 191:9 192:2 194:3,16 222:8</p> <p>Kanabrocki's 100:8</p> <p>Kansas 4:13 224:16,18 226:3,8</p> <p>Katherine 285:14</p> <p>Kathryn 199:1,4 206:7</p> <p>Kelpin 257:16</p> <p>Ken 87:20</p> <p>key 31:12 32:5 33:9 34:3 66:14,16 103:18,21 126:11 141:21 179:2 205:4 218:6 228:14 231:17 267:18 277:2 301:13 303:1</p> <p>kick 6:11</p> <p>kicked 218:1</p> <p>kicking 18:12</p> <p>kill 131:7 150:15</p> <p>killed 131:4</p>	<p>180:16,19</p> <p>Kimberly 269:5,22</p> <p>kindly 260:10</p> <p>kinds 183:9 185:20 193:1 241:5 273:12</p> <p>knew 132:1 197:4</p> <p>knowledge 17:2,15 18:9 19:22 21:1 22:7,14 26:14 36:4 38:9 47:3 52:20 71:18 216:15 247:11,18</p> <p>knowledgeable 118:10</p> <p>known 99:16 119:13</p> <p>KOW-A-KO 92:2</p> <p>Kozlovac 3:11 142:12 153:11 154:19 167:8 176:13 275:10 289:4</p> <hr/> <p>L lab 18:14 27:18 32:21 33:12,15,16 34:5,6,13 37:12 56:21 97:16 103:3 118:10,12 141:18 157:10,11,12,18 158:2,4,5,10,14 159:7 160:4 164:10 181:2 182:10 204:14,18 231:8 251:9,10 253:8 257:8 281:9,19 282:3 286:9 288:12,16 289:16 301:6</p>
--	---	--	---

<p>label 134:18 264:4</p> <p>laboratories 157:15,22 170:12,13 183:21 252:3</p> <p>laboratory 4:15 19:11 21:22 93:17 95:8 118:3 158:8 168:6,7 170:8,10 225:6 227:13 253:6</p> <p>labor-intensive 204:17</p> <p>labs 20:13 94:12 243:5,8 251:4 288:22 292:8 293:2,22 301:12,22</p> <p>lack 196:14 223:8 298:10</p> <p>laid 157:20</p> <p>land 116:2 295:21 303:15</p> <p>landscape 10:9 12:16 197:8</p> <p>language 42:10 54:17 257:10</p> <p>laptops 184:8</p> <p>large 9:22 11:7 15:13 48:6 115:6 125:16,17 139:12 292:5</p> <p>largely 17:6 194:17</p> <p>largest 15:13</p> <p>laser 202:20</p> <p>last 14:7 30:5 42:1 67:12 125:9 143:20 196:18 202:17 210:8 214:2 218:10 222:6 231:16 267:22 281:14 293:17 297:6</p>	<p>302:4</p> <p>lastly 166:13</p> <p>later 59:16 91:18 185:17</p> <p>latest 200:7</p> <p>Laughter 101:1 116:5 221:22 226:5 236:12</p> <p>law 61:22 193:14 237:6 262:14 302:6 303:13</p> <p>lawyers 270:17</p> <p>laying 12:16</p> <p>lead 43:10 152:12,14,20 233:5 298:17</p> <p>leadership 142:20 290:12</p> <p>leads 294:22</p> <p>leaning 105:13</p> <p>learn 10:20,22 68:2 101:4 236:21 238:13 260:5</p> <p>learned 55:10 120:4 256:10</p> <p>learning 45:7,16 113:17 293:20</p> <p>least 36:3 101:22 109:15 122:2 166:18 184:19 187:3 191:12 193:16 239:22 244:20 288:5 295:21</p> <p>leave 122:8 125:7 147:5 221:7,9</p> <p>leaving 26:12 227:17</p> <p>led 21:6 25:13</p> <p>leg 28:9</p> <p>legal 129:8,14,15 148:3 170:3</p>	<p>280:5,8,10</p> <p>legally 129:13 253:21</p> <p>legislators 115:16</p> <p>legitimate 17:1</p> <p>leisure 12:3</p> <p>length 91:16 111:12 186:12</p> <p>lengthy 129:17 217:2</p> <p>lens 285:14</p> <p>lentivirus 161:15</p> <p>less 130:15 197:3 237:17,18 303:20</p> <p>lessen 176:2</p> <p>lessons 256:10</p> <p>let's 42:15 43:12 47:7 48:3,14 51:4 54:22 55:8 59:12 60:5 62:22 68:10,17 76:13 92:9 98:16,19 171:7 239:10 255:15 275:15</p> <p>letter 149:5</p> <p>letters 122:14</p> <p>level 14:17 29:19 31:7 33:10,11 46:15 49:8 55:22 59:7 63:22 67:5 71:7 72:5,17 74:12 77:20 93:15 96:13 101:20 103:8 104:12 113:21 114:1 118:9,19 121:19 124:21 134:10 137:10 157:21 171:12,13 202:19 248:12 264:17 270:17 273:12,14</p>	<p>288:20</p> <p>levels 31:6,16 36:7 136:16 138:2 151:20 190:6 264:20</p> <p>leverage 25:21 46:20 290:20</p> <p>lexicon 16:21</p> <p>lied 20:1</p> <p>lieutenants 170:4</p> <p>life 1:6 2:6 3:22 7:15,20 8:3,11 13:12,19 15:2,7,14 16:1,5 17:14,20 22:3,12 24:12 25:7 35:11 71:16 92:12 162:15 181:22 200:1 218:18 221:2 305:14,22</p> <p>lifesaving 6:22 7:6</p> <p>light 87:10</p> <p>light/green 87:10</p> <p>likely 107:5 129:1 169:18 170:20 233:19 275:16</p> <p>likewise 14:9</p> <p>limitation 298:18</p> <p>limitations 185:16</p> <p>limited 103:11 189:11 192:6,14 212:7,16 243:3 244:15 272:19 273:1 278:20 284:5,7</p> <p>limits 136:2,7</p> <p>line 75:22 177:15 179:2 182:1 186:1 229:8 240:22 306:7</p> <p>lines 48:19 57:8 94:1 262:16</p> <p>link 210:14 221:7</p>
---	---	---	--

<p>Lipsett 1:12 list 50:3,5 51:12 57:15 70:22 116:13 120:6,7 123:19 124:2,4,7,8,9,12 150:11 192:5 209:11 251:18 254:13 272:5,7 274:1,21 275:15 listed 32:10 46:1 47:19,21 50:7 56:6 62:18 63:7,18 68:6,12 70:22 72:14 77:14,15 111:18 122:1 213:17 226:22 232:3 234:16 265:17 listen 12:6 140:2 184:20 283:20 listening 11:22 184:20 283:13 lists 123:4 192:16 271:6 275:16 listserve 207:13 literature 277:10 little 10:16 24:7 44:14,19 54:17 57:13 58:19 59:14,16 60:1,5 63:3 65:17 67:6,18 95:7 126:6 137:14 143:10 145:17 148:20 151:4 153:13 157:2 182:8 183:1,12 188:4 189:18 191:8 197:3 216:20 217:7,8 223:12 236:14 237:9,17 243:2,15 249:8 252:18 255:18 270:8 273:7 276:1 304:14</p>	<p>live 154:9 lived 92:1 living 209:14 load 143:13 loan 95:10 local 15:1 124:21 134:9,10 173:10 located 157:14 Locke 49:4 locked 274:9 log 227:22 logistics 13:2 long 77:3,6,8 78:21 79:7,8 87:8 91:21 92:3 101:17 138:14 153:20 187:11 194:10 245:13 250:10 259:22 260:2 283:5 296:4 longer 86:13 130:10 143:8 181:9 loop 64:12 139:19 loss 54:1 115:2 166:14 298:17 lost 114:22 152:15 lot 7:13 9:13 10:2 64:8 108:21 120:4 121:7 126:8,9 130:13 133:2 134:1 137:11 139:6 141:14 142:1,18 147:16 149:16 151:13 157:13 166:15 172:16 175:18,20,21 183:8 185:10 190:8,13 198:10,18,19 201:20 203:19 209:18 211:17</p>	<p>212:3 214:14,19 215:5,8 219:13 220:4 222:14,15 229:3,9,20 230:15 238:4,17,20 247:4 249:17 254:4 255:2,20 257:1 258:7 261:16 264:3 271:1,8 287:2 288:10,22 289:15 290:10,14 292:19 295:13 lots 115:8 119:9 129:18 254:8 262:20,21 love 302:8 lovely 198:14 low 126:1,13 169:4 254:1 lower 21:22 131:14,21 264:20 Lt 2:11 luckily 224:12 LUCY 308:4,17 lull 299:9 lump 201:11 lunch 3:5 10:21 152:8 219:3 284:10 <hr/> <p style="text-align: center;">M</p> <hr/> M.D 4:14 M.S 3:11,16,18 M.S.H.S 3:18 machinations 261:2 Madison 156:4 magic 150:14 main 199:20 207:5</p>	<p>211:5 288:3 maintain 17:12 144:4 219:4 294:1 maintained 24:13 major 91:1 198:7,8,10 209:15 292:2 majority 106:3 110:2 132:17,21 mammals 179:10 manage 92:14 105:18 116:9 129:7 162:5 249:1 284:11 managed 44:21 106:5 115:22 261:5 management 3:7 9:3 60:19 129:5 145:4 153:16 165:1,2 220:5 239:21 241:3,4 246:22 247:21 248:21,22 250:3,8 264:16 manager 3:16 4:9 128:16 143:21 224:7 manages 143:21 managing 213:1 mandate 86:11 mandated 233:17 mandates 102:2 mandatory 56:18 78:5 227:4 manipulated 46:14 68:6 manipulation 26:10 manner 8:6 34:18 42:5 61:10 134:8 manufacturing</p>
---	---	--	---

<p>7:17 manuscript 91:22 104:3 162:6 163:22 174:9 183:13 manuscripts 168:9 175:1 map 115:6 220:15 March 21:9 25:21 89:12 221:3 231:13 Marci 43:9,14 73:13 82:1 216:21 217:15 Marcienne 2:11 Maryland 1:15 283:11 307:19 master 156:2 match 209:7 material 72:2 174:16 197:13 276:3 materials 3:21 41:14 42:14 44:7 118:22 168:8 197:9,12 199:8 201:21 202:3 211:12 217:21 221:12 247:11 278:7 matter 8:12 34:9 60:7,11 69:17 108:16 197:12 235:6 262:1 263:3,11,18 maximize 39:4 Maxine 202:11 may 26:20 35:5,6 55:13 61:1 74:6 86:13 95:9 101:8,19,20 123:21 128:17 132:8 134:7 139:19,21 143:8,13 180:10</p>	<p>181:7 201:21 244:16 255:17 263:3,4,9 266:5 maybe 56:17 61:13 88:18,19 89:5 102:15 132:15 143:11 163:4 171:12 183:2 185:6,15,16 203:18 206:15,17 210:7 217:8 237:17 255:18 258:11,13 263:11,18 270:15 281:16 283:16 286:2 289:8 290:15 maze 152:17 MCM 100:3 mean 27:12 55:18 69:14 74:5 81:13 82:3 86:16 87:8 101:16 106:1,2 140:8 186:22 187:5 189:10 191:11 216:14 219:1 229:19 247:2 248:12 251:7 256:14 261:6 262:3 264:7,8 266:8 274:3 276:17 277:12 278:9 286:22 293:20 300:16 302:9 303:1 means 33:1 189:15 meant 199:22 200:3 measures 21:18 29:18 93:3,5,10 94:9 95:5,15 96:6 97:7 99:4 104:11 106:6 171:5 174:22</p>	<p>175:3,5 191:3 263:6 295:7,9 mechanism 54:3 56:12 135:22 223:11 276:21 280:10 mechanisms 91:14 179:3 289:3 295:19 media 104:2 175:7,17 medical 66:2 98:19,21 99:1,3,5,19 104:17 134:13 156:2,10 225:5 medicine 2:20 3:20 4:15 113:12 148:19 164:14 168:1 225:4 291:4 meet 13:13 28:15,16 30:11 48:1 70:9 71:12,13 73:18 76:3 77:1 90:8 92:21 131:15 168:12 187:19 188:3 230:13 234:20 257:17 261:9 meeting 6:13 11:21 36:4 39:11 110:1 112:17 113:15 115:17 129:18 143:9 148:11 154:7 159:19 169:18 170:21 184:4 187:22 196:9,21 209:15 211:7,8,13 212:22 213:7 217:11 219:3 279:15 291:7 306:12 meetings 103:3</p>	<p>110:3,4 143:8,9 198:9 207:7,10 208:3,4 211:10,16 218:7,8 232:18 260:3 305:17 meets 11:4 29:8 70:12 73:4 75:1 105:22 168:22 169:6 187:17,19 Meg 294:17,18,20 296:6 meld 145:2 member 117:16 155:10,11 159:8,12 189:17 members 9:5 34:5 36:3 60:12,18 110:6,7,8,9 113:18 119:10,13 123:11 159:6 239:7 244:6 249:11 305:2 membership 159:2,3 memorandum 253:20 mention 48:4 112:1 200:21 210:5 221:19 266:8 mentioned 10:7 11:20 13:9 20:16 22:8 25:5 41:4 44:2 69:18 96:4 97:13 115:20 117:20 119:1 124:1 128:22 139:7 151:17 154:20 159:10 164:21 196:10 240:19 246:19 247:6,16 260:16 262:17 276:8 mere 196:18</p>
---	--	---	--

<p>MERS 138:21</p> <p>mesh 13:20 301:17</p> <p>meshwork 31:16 39:22</p> <p>message 175:15 196:16 210:8 229:6 273:2</p> <p>met 55:5 63:20,21 259:8</p> <p>methods 56:14 219:9 278:8</p> <p>meticulously 230:11</p> <p>mic 48:12</p> <p>mice 18:20 180:11,16</p> <p>Michael 1:19 307:2,18</p> <p>microbiologist 60:4 156:10</p> <p>microbiology 155:16 156:3 275:19</p> <p>microgram 137:2</p> <p>micrograms 137:8</p> <p>microphone 137:19 182:20 184:22 195:9</p> <p>microphones 137:20 260:11 269:3 299:9</p> <p>middle 90:8 173:14 286:10</p> <p>Midleton 58:6 59:18,22 62:7 63:4</p> <p>Midwest 114:18</p> <p>miles 157:16</p> <p>milk 19:18 124:6</p> <p>million 48:18 114:22 115:1</p> <p>mind 167:2 178:4</p>	<p>188:8 214:22 220:12 229:21 249:21 275:3,9 276:5 293:18 294:10</p> <p>minds 182:16</p> <p>mine 124:17 155:7</p> <p>minimal 100:15 239:11</p> <p>minimizing 22:13</p> <p>minimum 93:14 211:21 289:9</p> <p>Minnesota 2:20 113:13,22 114:14,21 117:19</p> <p>Minnesota's 118:20</p> <p>minute 65:9 88:17 112:22 240:18</p> <p>minutes 51:4 98:17 105:10 107:15 109:15 110:1,13 111:4 130:2 146:3,9,18 151:8 159:19 214:3 223:21 226:1 257:18 260:7 306:6</p> <p>misapplication 17:17 28:17,22 29:4 162:12</p> <p>misapplied 17:7,11 18:2 28:13 35:19 71:20 75:17</p> <p>mishaps 165:10,11</p> <p>miss 117:6,9,14</p> <p>missed 40:10</p> <p>missing 65:11 181:6 267:19</p> <p>mistake 16:2</p> <p>misunderstanding</p>	<p>223:8 298:10</p> <p>misuse 7:2,4 22:13 242:8</p> <p>misused 6:17 162:9 248:3,9</p> <p>mitigate 7:4 14:11 15:11 18:1 23:12 29:20 32:3 145:17 166:2 167:3 176:11</p> <p>mitigates 176:6</p> <p>mitigating 7:1 100:6 263:21</p> <p>mitigation 29:11,12,22 30:7,9,21 31:2 34:1 37:8,10 39:18 40:8 59:8 62:10,13 63:10 64:14 70:8,11 75:7 77:6 79:1,10 83:20,22 85:2,22 86:12 87:4 91:16 92:10,13 93:1 106:5 108:1 109:3 111:8 112:6 141:2 156:22 157:6 163:12 171:2,5,7,17,21 176:4,19 186:12 191:3 193:6,11,16,22 213:21 235:1 247:17 261:22 263:6</p> <p>mixed 71:5</p> <p>mode 206:21</p> <p>model 46:13 105:2 150:18 285:18</p> <p>modeling 19:17</p> <p>models 20:13 191:19</p> <p>moderated 267:18</p>	<p>moderating 224:9</p> <p>Moderator 2:11,16 4:8</p> <p>moderators 3:8 4:20 13:8 217:17</p> <p>modification 65:13 67:1 73:6</p> <p>modifications 65:7</p> <p>modified 18:18 105:3 295:16</p> <p>modify 55:10 68:3 131:9</p> <p>modifying 49:2 50:19 65:3,4,5,18 99:12 303:21</p> <p>modules 227:19</p> <p>molecular 179:3</p> <p>molecules 122:16,19</p> <p>moment 9:1 93:8 141:14 142:5,9 233:1 244:5</p> <p>moments 289:17</p> <p>Monarez 12:9 120:1</p> <p>money 33:14 86:3,5 107:7 199:3</p> <p>monitor 41:8 138:6</p> <p>monitored 97:1 171:10 222:10</p> <p>monitoring 95:8,15 100:9 275:3 285:5,17</p> <p>monopolizing 281:8</p> <p>month 67:12 125:3,4 187:11,17 248:4 261:11</p>
--	---	---	---

<p>monthly 143:9 257:11,12</p> <p>months 67:12 213:12 233:12</p> <p>month's 233:12</p> <p>monumental 290:5</p> <p>Moore 300:2</p> <p>Moritz 3:16 155:22 167:9,10 183:11 187:13 189:3 190:5 194:1</p> <p>morning 43:13 113:15 124:18 140:1 152:2 216:10 246:1</p> <p>mortality 115:2</p> <p>motivated 186:20</p> <p>Mount 148:19 156:8</p> <p>mouse 18:17,21 123:22 130:10</p> <p>move 27:19 50:15 51:19 54:22 55:8 63:1 64:18 76:12 84:10 87:2,3,10 92:9 98:16 100:21 105:2,15 108:8 188:4 248:19 267:1</p> <p>moved 231:15</p> <p>moves 297:7</p> <p>moving 29:5 30:14 50:12 53:5 55:7 59:12 64:17 68:1 71:14 76:12 92:19 106:9 234:2 306:1</p> <p>Mt 3:20</p> <p>multi 288:9</p> <p>multidisciplinary 224:21</p>	<p>multimedia 199:11 201:20</p> <p>multiple 46:21 82:12 91:20 98:21 168:21 213:5 289:6</p> <p>murder 78:18,20 104:6</p> <p>mutant 177:14</p> <p>mutation 169:5</p> <p>mutations 146:15</p> <p>Mycology 3:9</p> <p>myself 127:5 128:6 140:7 149:4 167:21 188:11 206:7 236:10</p> <p style="text-align: center;">- <u>N</u></p> <p>N100s 172:15</p> <p>N95s 93:22 172:15</p> <p>naive 18:20</p> <p>nanograms 137:8</p> <p>narrowed 190:14</p> <p>nascent 212:1</p> <p>nasty 131:3</p> <p>national 1:3,11 2:5 9:4 16:9 25:13,14 72:2 119:10 157:15 162:10,17 166:7 195:16 207:21 295:6</p> <p>nationally 202:8 285:15</p> <p>naturally 164:5</p> <p>nature 15:9 162:11 179:16 229:2</p> <p>Nazi 277:13</p> <p>NBBTP 155:12</p> <p>nearly 196:19</p>	<p>necessarily 95:22 97:6 142:14 147:17 171:14 195:4 281:22 288:13 295:18</p> <p>necessary 90:12 206:3 234:14 262:1 278:21 286:15</p> <p>necessity 75:1</p> <p>needless 187:8</p> <p>nefarious 6:18 26:16</p> <p>negative 17:22 23:1 166:15,17 276:13</p> <p>negativity 141:9</p> <p>negotiable 299:7</p> <p>negotiated 129:14</p> <p>negotiation 258:16</p> <p>neighbor 53:7</p> <p>neighbors 48:7 53:7,8 59:14</p> <p>neither 307:8</p> <p>NES 128:15</p> <p>net 192:7</p> <p>network 10:22 168:7 218:21 220:7</p> <p>neurologist 60:2</p> <p>neurologists 190:15</p> <p>neurotoxin 124:9 136:16</p> <p>newly 55:14</p> <p>news 115:19</p> <p>newsletters 257:11,12</p> <p>NGOs 219:15</p> <p>NIAD 125:19</p>	<p>153:10 225:6</p> <p>NIAID 3:10 4:14 157:11</p> <p>nibbles 240:15</p> <p>nice 43:18 76:6 157:12,13 182:17 215:12,17 232:16</p> <p>niche 243:15</p> <p>Nicholas 296:7</p> <p>NIFA 186:10</p> <p>night 286:11</p> <p>NIH 3:10 4:5 6:5 7:22 9:2,21 12:20 13:11 14:4 31:10 41:22 49:7 81:17,20 82:2,6 91:1,2 105:18 119:11 144:11 148:21 149:6 151:1 152:6 153:10,17 155:10,11 167:11 171:19 181:17 197:11,13 198:9 200:18 201:22 203:12 206:5,10 218:5 222:13,20 223:2 229:6 234:4 235:15 237:4 240:9 254:15 266:3,9,10 285:20 297:20</p> <p>NIHR 64:7</p> <p>NIH's 9:1</p> <p>nine 292:15</p> <p>nitty 146:10</p> <p>nitty-gritty 160:1</p> <p>nobody 301:14</p> <p>nod 263:14</p> <p>nodding 51:17</p>
--	--	---	---

<p>non 37:15 50:17 102:22 127:5 265:18 283:7 297:14 298:2,16</p> <p>non-benevolent 6:18</p> <p>non-compliance 223:7 297:9,10,21 298:1,6,9,13 299:2 302:12,13</p> <p>non-compliant 281:13</p> <p>non-concurrence 85:15</p> <p>none 240:4,5,6 243:7 266:4</p> <p>non-federal 82:19</p> <p>non-federally 82:21</p> <p>non-pathogenic 21:19</p> <p>non-registered 96:2</p> <p>non-science 129:19</p> <p>nor 307:9,13</p> <p>normal 169:17 170:19 279:1</p> <p>nos 49:16 51:7,8,10</p> <p>Notary 307:1,19</p> <p>note 72:22 180:8 202:6</p> <p>noted 108:21 178:21</p> <p>notes 268:12 271:1 308:7</p> <p>nothing 23:19 37:21 38:22 88:5,21 149:22 180:17 181:6,21 196:12 302:10</p>	<p>notice 81:5 207:17 211:6 240:4 251:1 252:6,14 288:15</p> <p>noticed 151:15</p> <p>notices 81:6 269:11,15</p> <p>notification 124:22 148:21 188:18 227:17 298:4</p> <p>notifications 76:17 82:12 227:9</p> <p>notified 39:10 56:10 89:14 123:15 187:15</p> <p>notifies 72:19,21</p> <p>notify 32:13 39:12 70:8,11,20,21 71:4 72:10 73:19 74:2,10 81:17,20 122:22</p> <p>notifying 77:7 187:14</p> <p>notion 196:11 285:20</p> <p>Novartis 265:20</p> <p>novel 122:19 179:8</p> <p>novicida 49:1 50:10,13</p> <p>novo 19:8</p> <p>nowhere 41:6 193:11</p> <p>NRCM 3:18 155:13</p> <p>NSABB 9:5,9 20:15 105:18,20 126:21 154:7 155:10 181:16,18 199:8,19,20,22 205:6</p>	<p>207:2,7,10,12 208:1,6,9,19 209:2,6 211:3,8,10</p> <p>NSABB's 197:22 208:10</p> <p>nucleic 122:16</p> <p>Number/NIH 150:5</p> <p>numerous 117:18 211:1</p> <p>nurse 256:20</p> <hr/> <p>O</p> <hr/> <p>OBA 93:13 109:14,16</p> <p>objective 105:6</p> <p>objectives 45:16 218:16</p> <p>obligated 33:5 204:22</p> <p>obligation 40:16 86:8</p> <p>obligations 298:10</p> <p>obviate 281:5</p> <p>obvious 114:2 126:4 127:22 177:9 240:10</p> <p>obviously 117:20 118:4 126:14 128:8 157:20 160:8,20 162:5 163:15 164:7,20 165:20 185:10 186:19 192:9 200:9 215:2,18 217:2 223:2,6 229:2,22 233:22 246:12 261:20 262:4 264:22 272:16 289:1 300:16</p> <p>occupational 96:19,22 97:1,10</p>	<p>100:9 164:14</p> <p>occur 101:9,11 103:1 143:22</p> <p>occurred 104:12 179:15 242:10</p> <p>occurring 115:2</p> <p>occurs 52:16 57:20 179:10</p> <p>o'clock 236:7 247:22</p> <p>odd 128:17</p> <p>odds 6:14</p> <p>offer 270:10</p> <p>offered 268:11</p> <p>offers 203:10</p> <p>office 2:8,11 4:17,18 5:4 9:2 10:8 12:9 43:15 49:6 109:19 118:4 147:20 207:13 210:5 225:12,14 226:19 232:14 234:8 261:14 294:21 301:16</p> <p>officer 3:12,19 49:4 52:11 123:1 127:4 128:14 154:18,21 155:19 169:21 200:17 204:12 240:11 246:6 272:8,9 273:4 290:16 307:2</p> <p>officers 117:13 118:12 173:13</p> <p>official 3:19 31:22 57:16 58:13,15 113:6 139:14 140:15 155:18 169:19 230:20 273:16</p> <p>officials 31:13 33:18,19 169:20</p>
--	---	---	---

<p>officio 189:17</p> <p>off-label 134:14</p> <p>oh 32:20 62:7 134:11 145:12 180:19 222:7 236:10 239:3 241:12 245:18 256:19</p> <p>ointment 125:13</p> <p>okay 22:8 24:22 36:1 43:13 44:11 50:12 51:18 53:5,19 54:10,22 58:5 61:3 63:2 64:2,3,18 65:12 66:1,9,12,21,22 68:1 70:7,9,10 71:13 72:21 74:16,19 75:12 76:10,12,13 77:5,11 79:7 81:10,15,22 83:1,14 84:20 86:9,21 93:21 95:12,16 97:13 98:6,7,15 100:19 101:2 104:6 112:1 113:1 126:2 162:4 176:15 177:9 178:19 180:6,19,20,22 212:10 223:22 228:10 234:6 236:14 239:4 240:13 242:14 246:7 248:15,19 251:12 253:15 257:3,18 267:13,19,21 277:21 288:14 296:6 304:21</p> <p>old 155:7</p> <p>older 278:8</p> <p>oligonucleotides 19:7</p> <p>Olinger 4:16</p>	<p>147:11 225:10,11 249:16 250:1</p> <p>ones 124:16 275:5</p> <p>ongoing 25:11 32:13 33:8 37:11 39:20 41:2,9 64:15 258:7</p> <p>online 56:18 57:2 126:18 208:4 257:6</p> <p>oops 141:10</p> <p>opacity 146:5</p> <p>opaque 109:11</p> <p>open 4:19 30:15 35:12 42:4 75:21 110:3,4 122:8 132:7,11 133:7 249:4 265:11 273:4 278:18 299:15</p> <p>opening 202:2</p> <p>openly 106:4</p> <p>openness 109:22</p> <p>operate 25:2</p> <p>operating 38:9 55:4 158:16 164:9</p> <p>operational 139:18</p> <p>opinion 123:18 128:13</p> <p>opinions 168:15 213:3 236:10</p> <p>opportunities 10:18 118:18 213:6</p> <p>opportunity 13:12 14:4,7 20:10 24:18 39:14 40:21 43:20 86:17 119:16 120:3 182:15,17 268:7,22 299:12</p>	<p>305:19</p> <p>opposed 286:5</p> <p>opposite 186:7</p> <p>option 298:20</p> <p>order 70:14 85:22 93:6 96:3 109:9</p> <p>ordering 136:7</p> <p>organism 50:2 96:7 177:7</p> <p>organisms 117:17 295:16</p> <p>organization 157:3 282:19 291:9,14 293:5</p> <p>organizations 218:22 219:13,14 220:2</p> <p>organize 292:4</p> <p>organized 293:2</p> <p>organizer 184:13 291:6</p> <p>organizers 113:16 249:19</p> <p>original 178:5</p> <p>originally 150:22 271:13</p> <p>Orr 269:5 270:13</p> <p>OSTP 167:11</p> <p>OSTP.gov 11:17</p> <p>others 41:7 61:13,18 68:17,19 69:7,10 103:22 138:21 146:12 165:11 218:3</p> <p>otherwise 122:20 267:18 277:16 307:13</p> <p>ought 38:15 263:3,17</p> <p>ours 144:22</p> <p>ourselves 40:3</p>	<p>172:1 174:11 193:1</p> <p>outbreak 114:15 115:14</p> <p>outcome 19:2 39:14 59:5 71:4,11 74:2,11 77:8,17 80:2 83:11 88:20 161:3 307:14</p> <p>outcomes 17:18 20:2 115:20 128:20 149:2 176:17 265:4</p> <p>outline 233:14 247:3</p> <p>output 264:13</p> <p>outreach 3:21 10:16 195:17 197:9,15 199:7 206:21 210:3 217:22 220:17 221:11,12,15 223:11 265:13 298:12</p> <p>outside 123:19,20,22 145:1 273:18 281:9</p> <p>outstanding 47:8,9 61:13 100:13,19</p> <p>outweigh 162:19 166:9 174:15 191:14 281:2</p> <p>overabundance 24:9</p> <p>overall 220:10</p> <p>overcome 18:20</p> <p>overdue 111:4</p> <p>overlap 82:8 147:8 238:10</p> <p>overload 287:14</p> <p>overly 212:9</p>
---	--	---	---

<p>300:18 overreact 24:5 overreaction 35:9 overreported 181:7 overseeing 33:12 overseen 127:20 oversees 109:19 oversight 1:5 2:6 3:22 25:7,9 32:8 40:14 44:5 45:9,14,20 52:16,20 92:11 111:17 114:3,4 115:22 116:17,20,22 117:4,8,12 118:6,8,15 121:2 182:7 210:22 213:13 220:11 224:20 overstated 114:9 overture 235:20 overview 2:5 3:21 10:5 146:14 179:1</p> <hr/> <p style="text-align: center;">P</p> <hr/> <p>p.m 153:5 224:3 306:11 packages 117:7 packet 155:3 199:10 203:5 204:9 214:5 packets 200:10 page 2:2 3:2 4:2 5:2 50:12 51:5 53:5,8 55:8 59:12,18 63:1,11 64:18 65:11,12 68:1 79:15 92:19 93:7 98:20 99:9 101:3 208:7 210:16,21 218:4</p>	<p>pager 289:10 pages 68:11 214:7,9 pain 130:16 pains 299:14 pair 198:11 palpable 278:14 pandemic 19:16 107:12 142:6 panel 91:17 111:12 153:12 155:5,6 192:21 224:1,9,10 260:13 271:12 272:1 273:10 297:12 301:15 305:2 panelist 119:18 125:9 274:16 panelists 2:18 3:13 4:10 13:1,6,9 111:13 113:4,18 151:16 152:5 177:8 270:10 305:10 paper 103:2 281:11 282:5,10,21 papers 120:22 paperwork 144:3 148:6 PAPRs 93:22 94:6,7 164:11 172:15 239:4 paragraph 77:22 paragraphs 93:9 parent 130:16 Paris 293:17 Park 157:8 158:10 159:4,5 participants 267:2 participate 57:1 156:18 225:22</p>	<p>230:14 306:4 participates 141:1 participation 15:22 152:5 294:22 particular 15:16 19:3,13 25:20 30:2 33:3 51:22 59:6 64:10 65:5,10 66:16 68:3 76:10 79:21 80:10 81:5,12 89:6 98:12 104:13 117:14 122:3 123:8 134:18 140:19 149:7 150:4 177:13 178:19 188:9 212:6 229:8 248:7 278:3 292:16 particularly 98:15 196:2 292:14 parties 118:22 222:16 295:5 307:10,13 partnered 219:16 partners 6:7 14:20 28:19,21 35:2,8 74:19 78:9 87:22 partnership 44:20 223:17 305:14 passage 276:6 pass-through 97:18 password 228:2 past 14:5 18:6 21:11 23:9 119:10 206:10,11 208:3 210:17 236:15,22 256:12 295:4 302:1 path 114:15</p>	<p>120:22 126:13 127:12,18 pathogen 19:8,14,21 20:12,22 26:5,11,22 37:13 46:11 66:4 99:2 127:3 164:18 235:6 pathogenecity 126:1,12 133:15 232:8 pathogenic 21:20 25:4 37:16 126:3 130:15 131:7 132:4 169:4 178:12 254:1 pathogens 21:2,3 22:5,21 24:11 25:22 26:1,3 27:12 28:3 29:2 117:22 119:14 153:19 154:14 158:5 160:5 164:17 171:3 192:4,9,11,15 229:4 231:20 234:10 pathway 48:22 50:19,20 pathways 48:20 patient 19:10 128:20 patients 253:14 256:11 Patrice 291:1 Patricia 4:16 49:22 225:10,11 patrols 173:16 pattern 145:22 patting 149:16 Patty 147:11 151:11 250:1 pay 149:16</p>
---	---	---	--

<p>paying 10:14 pdf 203:12 peer 35:16 pejorative 32:19 penalties 298:16,17 pending 261:17 Pennsylvania 296:8 people 12:18 20:9 52:22 61:8 88:3 94:12 102:11 103:12,20 106:22 109:22 121:16 122:10 123:3 128:21 129:6 130:14 131:5,21 132:16 133:8 134:13,19 136:7 138:8 142:1 144:6 149:10,14 151:19 152:13,15 153:13 161:15,21 165:12 176:9,10 183:13 184:20,22 185:18 188:6 193:4,17 195:20 196:19,21 197:4 198:22 200:4 202:11,13,14,22 227:13 229:5,12,20 230:10 237:2 241:21 245:3,4,17 246:7 249:12 250:2 252:16 253:10 254:5,6 261:17 262:21 263:14 267:4 269:7 270:14 279:4,5,13 283:16 284:3,5,8</p>	<p>285:9 287:1,11 288:22 290:13 296:18 per 264:4 perceives 142:10 percent 23:21 115:2 131:5 147:2 264:7 perception 141:18 perfect 43:13 250:18 perform 213:20 288:10 performing 144:12 perhaps 27:2 58:16 194:5 period 86:22 periodic 305:18 periodically 207:14 244:19 285:21 periods 268:6 Perkins 48:11 person 38:8 80:4 144:2 163:16 164:18 196:20 266:18 personal 172:13 173:6 228:19 288:20 personnel 96:12 100:5 165:3,4 198:16 perspective 65:21 183:17 218:19 220:12 283:13 298:13 299:17 persuasive 303:20 pertinent 230:16 ph 51:16 61:14 128:15 136:4 144:10 181:3</p>	<p>237:22 240:10 Ph.D 2:4,8,11,16,21 3:3,9,14 4:11 5:3 Pharmaceuticals 265:20 pharmacist 137:7 phase 150:20 phases 212:2 phe.gov.s3/ dualuse 107:14 phe.gov/s3/ durcworkshop 44:6 phenotypic 122:21 Phil 148:18 156:7 164:21 176:13 196:10 philanthropic 219:14 Philip 3:3,18 125:10 phone 67:5 192:22 221:21 266:19 phonetic 257:16 283:19 291:2 physical 164:21 183:8 physician 168:2 256:16 physicians 173:2,10 PI 34:11 57:21 105:1 111:15 129:16 136:22 139:7 140:20 141:8 146:10 160:17 163:10,18 170:9 186:19 187:14 189:2 201:3 204:3,5,15 234:7 286:6 288:11</p>	<p>301:4 pick 263:19 picture 198:21 276:10,11 piece 51:5 91:16 98:11 195:6 276:9 277:1,6 piecemeal 290:1,6 pieces 47:6 276:22 303:1 pies 201:17 Pike 1:14 pillar 302:18 pipeline 16:10 141:15 PIs 34:22 87:15 118:10 136:21 140:1 142:3 160:4 161:13 163:19 187:10 189:13 194:21 201:3 215:8 226:21 238:21,22 239:1 240:7 246:19 251:7 257:16,17 290:22 293:22 300:21 PI's 31:19 281:11 pitch 84:3 296:9 plagiarism 8:6 plan 29:22 34:1 37:9,10 39:18 59:8 62:10,13 63:10 64:14 67:2 70:8,11 75:7 77:7 79:1,5,11 83:20 85:2 87:4 92:13 93:1 98:10,14 100:9 109:3 111:8 112:6,7 141:3 163:13 171:7,21 173:8 176:4,10 186:12</p>
---	--	--	--

<p>193:6,16,22 218:5 235:1 241:16 261:22 275:8 planning 2:11 90:2 plans 3:7 66:22 153:16 171:17 193:12 213:21 244:10 288:19 plant 114:11 117:22 119:14 plant/risk 193:22 plants 72:1 play 88:9 117:19 157:6 280:22 player 85:21 playing 15:6 113:7 190:18 please 12:13 42:13 43:1 47:4,6 89:4 111:4 113:1 122:16 152:22 182:20 206:20 223:22 260:12 pleased 156:19 184:17 pleasure 219:2 224:9 pln 83:22 plus 119:3 172:4 189:12 pm 1:9 224:2 pocket 199:2 point 11:6 18:9 34:19 39:9 41:1 51:20 61:6 71:9 72:16 80:6 84:10 86:20 88:5 90:10 97:2 100:8 101:18 110:14 117:4 129:1 141:19 155:12 163:17 190:6</p>	<p>205:13 211:2 216:5 232:22 236:18 239:13 246:6 247:10 257:1,15 261:4 262:3 264:10 274:12 279:4 280:20 287:7 301:13 302:4 304:10 305:13 pointed 38:2 193:9 pointer 202:20 points 151:12 175:7,12 299:19,20 302:15 pole 241:20 police 61:22 170:5 polices 24:4 policies 8:11 13:16 15:17 18:1 22:4,9,16,20 23:1,8,12,15 24:16,20 25:3,17 32:7 38:10 42:3,7,16 107:17 110:22 114:1 116:8,17 117:5,8 173:5 198:1 208:14,16,18 212:18,20 221:3 243:4 300:12,13,17 305:19 306:1 policy 1:5 2:4,5,9,11,15 3:22 4:5 5:4 6:4,10 8:10,16,17,19,22 9:2,3,11,15,20 10:1,3,8,9,12,15, 17 11:6,8 12:10,12 15:17 21:8,10 22:17,18,19 25:2,5,12,20 27:2,10,19 28:2</p>	<p>29:6 30:5 31:5,15 36:5 38:14 39:4,6,8,11 40:14,15 41:10,17,21 42:3 44:5 45:10,20 47:6,12,19,22 48:2 49:10 50:8,9 51:7 52:21 53:3 55:13 56:3,14 57:22 58:20 59:19,20 62:11,18 63:16,18 64:1 68:5,7 69:22 71:1 75:21 77:2,14,16 88:4,5,22 89:12 90:6 92:12 102:14 105:14 107:2 108:13 110:21 111:17 113:9 116:15 121:2,4 134:20 136:5,11 138:17 139:21 140:3,6 141:5 151:4 173:6,7 189:11 195:15 201:10,14 204:17 205:3,6 207:1 209:10 210:2,6,12,18 211:17 212:1,6,8,11 213:9,19 214:7,9 215:7 216:4 218:1,5 220:11,13 221:4,5,14,17 222:15 223:1,3,12 236:19 237:6 238:6 240:5 242:12,15,18,22 243:17 246:3,13 247:4 265:18 266:3,13 268:2,21 270:12</p>	<p>271:9 272:4,13 273:19,20 274:4,18 277:7,17 280:1 281:20 282:1,15 283:6,8 297:3,8,10,21 298:21 299:13,21 300:3 301:1,7 302:2,9,13,16,21 303:3,6,7,9,21 304:6,14,16,17 policy-makers 202:9 220:9 policy-making 197:20 208:11 211:4 policy's 73:4 policy-specific 209:8 Polio 19:6 polling 286:17 polymerase 177:13 178:6,13,20 179:18 180:1 pops 214:22 populate 46:3 population 18:17,21 69:3 portal 207:6 portfolio 9:22 portion 44:16 253:22 portray 199:13 pose 17:7 71:20 73:10 74:22 75:17 265:4 268:14 posed 13:21 14:10 15:10 22:5 29:20 42:18 51:6 162:12 293:19</p>
---	--	--	---

<p>296:22 301:19 poses 73:9 212:22 posing 26:2,7 position 38:15 278:17,20 279:19 296:2 298:8 positive 11:13 17:4 18:16 91:11 228:17 264:15 positively 112:4 possibility 128:1 240:18 possible 164:7 177:5 245:8 249:13 264:19 289:21 291:17 possibly 116:2 133:9 218:2 post 33:15 34:7 150:1 172:3 post-approval 285:4,17 posted 68:14 210:20 211:14 poster 199:16,22 200:2,9,11,13,14 ,15,19 posters 103:4 198:8 post-manuscript 270:3 potent 18:19 potential 7:1,4 8:13 17:8 19:13,21 20:2 24:2 27:14 28:4 56:7 71:21 73:11 95:11 100:6 107:12 114:7 116:9 120:10 123:18 161:3 162:13,17,19 164:4 166:6</p>	<p>167:13 168:12 173:4 174:8,12 177:20 201:3 203:8 212:13,14 213:1 221:12 234:13 238:16,19 239:9 240:17 241:9 274:22 275:8 301:18 potentially 6:17 17:5 21:16 24:11 41:11 162:15 245:4 265:21 272:17 279:7 304:9 Potter 3:3 125:10,12 132:13 138:11,19 141:12 143:18 146:7 poultry 114:16 115:7 PowerPoint 200:22 204:3 217:6 pox 124:1 PPE 94:6 256:12 PR 129:6 practical 6:20 10:11 28:1 169:1 practices 32:7 105:5 pre 284:20 pre-award 118:5 234:12 pre-awards 261:14 precedent 109:7 predicated 109:21 predictability 274:7 preparation</p>	<p>192:21 prepared 205:7 preparedness 43:16 225:1 preponderance 15:2 pre-publication 270:4 prescribed 271:7 prescripted 278:15 prescriptive 111:6 presence 218:6 present 28:11 217:18,20 presentation 103:4 119:19 146:10 195:17 197:7 198:2 201:1,2,11 204:3 206:15,19 221:19 259:21 presentations 205:4 283:21 305:9 presented 7:11 119:9 179:14 211:13 219:18 presenting 216:22 presents 6:19 117:3 preserve 22:12 154:11 president 3:14 4:12,16 58:7,16 123:13 143:3 155:15 224:18,22 225:11 235:4 291:3 press 141:14 166:16 175:8,15,21</p>	<p>pressure 141:22 pretend 277:10 pretty 105:21 129:17 187:8 199:19 202:21,22 217:2 219:16 244:9 246:2 295:20,22 prevent 175:18 previous 72:8 74:4 276:9 278:1 previously 127:2 276:3 277:15,20 primarily 178:6 primary 59:3 80:5 141:4 primer 47:14 200:2 203:4 principally 143:22 principle 46:2 109:21 119:2 120:17 121:15,18 200:20 226:17 227:16 231:7,8 266:8,13 principled 124:13 principles 8:9 44:20 45:5 print 204:6 printing 203:17 private 15:5 28:20 110:5 151:14 privilege 225:21 prize 153:18 proactive 232:12 proactively 286:4 probably 18:10 60:19 125:14 128:11 133:20 141:16 192:3 205:11 206:8,11 209:16,18 210:8</p>
--	---	---	---

<p>214:5,12 215:1 287:11</p> <p>problem 39:19 88:17 141:13 240:21 256:20 285:17 304:11</p> <p>problematic 181:10,13,21</p> <p>problems 130:5,6</p> <p>procedure 116:16 129:5 234:5 288:7</p> <p>procedures 96:11 110:22 114:2 116:8 117:5,8 158:16 164:9 217:9 278:2</p> <p>proceed 51:22 85:16 88:15 186:14</p> <p>proceeding 308:6,7,11</p> <p>proceedings 80:2 110:13 150:2 307:8</p> <p>process 9:12,13 13:15 34:18 38:4 45:10 46:1 53:4 56:16 62:11 78:19 84:17 87:4 92:11,18 104:20 133:3 140:3 145:7 154:12 158:19 160:15 161:11,12 163:7 165:2 167:17 184:7 185:6,10,14,19 186:18 188:15 195:7 197:20 198:17 204:18 208:11 211:10 219:16 235:3 240:17 252:8 260:16 261:1,5,11,20 263:14 273:22</p>	<p>288:13 293:6,8 296:2,18 297:7 302:22</p> <p>processed 57:9,10</p> <p>processes 2:14 67:16,17 85:1 108:6,15 110:19 113:3,8 147:6,8,9 185:16</p> <p>procure 204:13</p> <p>produce 18:15 47:20 62:17 63:17 68:9,13 71:2 72:15 73:2 122:19 186:11 271:14</p> <p>produced 115:1 209:2 271:16</p> <p>produces 9:9 77:14</p> <p>producing 115:8 136:15</p> <p>product 18:9 28:12 122:19 243:16 245:19</p> <p>production 19:20 25:18</p> <p>productive 24:8,12,14 25:12 42:16 43:4 268:16</p> <p>productively 14:19</p> <p>products 17:3 22:14 71:19 197:22 207:8,11 208:9,13 209:1 211:3</p> <p>professional 156:1,9</p> <p>professor 155:16 167:22 168:1 225:4</p> <p>profiles 164:16</p>	<p>program 3:16 4:9 54:19 55:6 57:17 93:20 95:3 96:19 102:12 113:6 118:21 119:4 125:16 126:5 128:16 136:3,5 138:1 139:13 145:2 151:21 155:12 158:2,3 164:14 165:4 183:20 184:5 195:11 204:13 224:7 229:8 234:4 240:11 242:1 250:17 272:8 273:4 285:16</p> <p>programs 115:13 155:20 156:6 164:15 167:4 224:21 229:7 250:14</p> <p>program's 293:10</p> <p>progress 64:8 160:15,17,18 163:22 219:6 228:3 288:2,4</p> <p>progressing 115:14</p> <p>prohibitions 199:3</p> <p>project 62:20 64:7 72:4 78:22 79:21 80:6,10,12 81:12,13 89:19 121:8,11,12,21 122:4 135:11 162:4 179:12 230:8 234:13,22 241:15 260:19 272:9,12 273:3 276:9 282:16 283:2 289:7</p> <p>projects 107:5 118:5,11,16 121:14 144:16 231:9 237:8</p>	<p>241:22 271:8,11 272:6,17 279:11</p> <p>prolong 140:16</p> <p>prominent 16:15</p> <p>promise 89:5</p> <p>promised 306:8</p> <p>promote 197:17,21</p> <p>promoting 45:6</p> <p>promptly 186:20</p> <p>proof 107:20</p> <p>propagating 240:21</p> <p>proper 118:6</p> <p>properly 69:15 115:21 180:21</p> <p>properties 132:20</p> <p>property 92:5</p> <p>prophylactic 69:6</p> <p>proposal 55:14 59:6 68:3 92:12 117:6 288:3</p> <p>proposals 290:2,5</p> <p>proposed 8:16 63:13 72:4 162:19</p> <p>proposes 93:11 104:2</p> <p>proposing 53:21 66:19 86:7 186:16</p> <p>proprietary 110:5</p> <p>prospectively 240:8</p> <p>protect 97:7</p> <p>protecting 249:3</p> <p>protection 8:4 94:6</p> <p>protective 172:13</p> <p>protein 65:6,19</p>
--	---	--	---

<p>68:4 73:6 99:13 proteins 50:20,22 55:11 64:22 protocol 45:5 49:5,6 64:10 107:20 129:16 133:6 138:9 139:6,17 140:17 141:10 190:12 216:13 231:17 241:10 252:21 286:5 protocols 120:17 127:13 130:14 132:15 133:2 134:1 143:21 150:4 189:4 190:9 193:18 226:22 230:11,17 232:20 237:13,21,22 238:16,17,20 240:1 243:16 provide 25:7 28:12 44:14 48:12 67:19 71:18 79:9,10 86:11 104:4 111:15 112:5 119:15 125:17 128:13 159:21 201:19 203:15 206:1 207:14 209:16 212:17 215:3 216:3 232:10,11 247:20 provided 22:15 45:18 99:21 142:20 146:18 211:13 provider 258:11 providers 250:16 provides 59:22 83:17 99:8,10 118:21 209:11</p>	<p>providing 199:5 232:16 282:13 provision 184:14,16 266:2 282:11 provisions 223:1 proviso 226:13 prudent 139:5 275:7 PSA 269:20 pseudo 161:15 pubic 207:11 public 16:6 17:8 18:3 21:4 24:19 25:16 35:22 40:5 49:12,15,17,20 50:1 51:9,11 52:8 53:10,16,18 54:4,6,9,13 55:1 56:17 57:6 58:11,14 59:1 60:10,22 61:4,15,19,20 62:1,15 65:22 66:6,8,13 67:8,13 70:6,15,17,19 71:22 72:7 73:11,13,15,22 74:4,14,21 75:8,11,13,18,20 76:19 77:4,10,21 78:10,14,16 79:4,13 80:3,8,16,21 81:8,13,17 82:16,19 83:1,13 84:5,12,16 85:3,10,20 87:12 90:15,21 91:10 92:16 93:19,22 94:3,10,16,19 95:6,13,17,21 96:17,22 97:6,9 98:8,12 100:2,10,12 101:12 102:19</p>	<p>103:9,17 104:16 105:8,11,17 107:16 108:18,20 109:17 110:3,4,16,19,20 132:12 133:4 134:11 135:2,3,7,10,13, 18 139:22 142:10 144:10 145:11,12 146:11,22 147:11 151:9 161:4,18 162:9,16 165:19,21 166:1,3,14 173:3,9 174:4,6,18,19 182:22 183:15 184:18,21 185:3,4,19 186:6,9 191:1 196:10 197:3 199:5 207:5,9 208:13 211:7,11,15,16 221:18 222:1,4 226:6 235:21 236:3 260:14 264:5 269:7 275:21 276:15 277:21 281:6 282:4 291:1 297:5 303:15 307:1,19 publication 30:5 34:19 61:7 91:22 101:9 102:7,9 103:22 109:10 166:7 174:14 187:4 191:12,15 269:8 278:18 279:19 282:20 283:7 291:20 publications 124:5 145:20 publicly 110:14</p>	<p>111:1 132:2 149:22 166:4 175:4 185:14 public's 141:19 publish 35:3,13 145:20,22 187:6 238:7 279:8 280:4 283:4 published 89:12 90:17 103:15 116:22 121:5 129:2 166:12 181:22 210:21 276:3 277:1,9,16 278:1 publishing 162:18 174:15 181:21 185:12 276:8 pull 269:22 pulling 30:10 punch 185:22 purely 19:11 purpose 197:7 200:13 213:18 228:16 300:18 purposes 6:18 17:1,5,22 24:14 26:16 53:20 70:1 134:21 169:1 205:8,17 206:3 215:19 pursue 300:22 pursued 82:9 pursuing 229:16 purview 114:8 130:11 puts 171:21 176:6,9 231:3 putting 28:2 30:22 35:18 42:7 57:7 97:7 119:7 150:14 199:1 212:8 231:5 268:21 286:6</p>
--	--	--	--

puzzle 276:22	139:8 144:9,17	214:11 221:9,10	rapidly 230:18
pyogenic 180:22	145:9 147:12	223:20 230:16	rare 106:7 278:20 279:16
<hr/>	148:10 151:7	233:2 234:15	rarely 82:9
Q	160:22	235:20,22	rate 36:6
qualified 277:7	161:2,7,17 174:7	236:1,4 237:11	rather 21:5 90:4 163:18 178:1 202:7 280:12 286:18
qualifying 21:17	182:20 183:7	238:21 240:14	rationale 286:8
quality 16:7,8	185:1 186:7	241:12 244:13	RBP 3:11 4:16
250:15,16 259:2	188:20 190:2	245:9 252:16	RDNA 237:22
287:1	192:12 195:2	254:13,18	reach 43:1,2 81:16 91:21 103:2 141:7,8 190:2 239:7 268:22
quantifiable	214:22 222:9,12	260:7,13	reaching 188:10 241:2
301:10	232:15 245:14	265:11,12,13	reach-through 266:2 282:11,18
quantities 134:22	260:12,15,19	268:13,17 269:1	reader 94:18
137:21 138:9	262:22 263:15	271:2 273:13,19	readily 109:16 295:19
243:4 244:15	265:9 271:12,21	275:22 283:15	reading 63:11
271:18	276:17 277:8	292:15,16 296:8	reads 161:2
quantity 135:4,5	281:7,14,19	297:11 305:1	ready 187:6 188:16 224:5
138:2,5 211:21	291:11,15,17,22	quick 182:11	real 102:4 125:4 133:12,13 163:2 166:17 173:13 182:11 196:6 239:14 262:15 265:4 304:18
212:21	292:3,19,20,21	201:2 219:15	realistic 241:18
245:16,20	293:7,11,12	257:7	realistically 239:20
quarantine	297:4,15,16	quicker 257:8	realize 178:10 195:21 196:1,3 249:17 274:6
173:5,6	question/answer	quickly 111:5	realized 181:2 243:10
quarantined	304:22	204:15 244:9	realizes 272:14
115:5	question-and-answer 268:6	261:21	
quarterly 290:3	questioning 232:5 238:22	quite 81:4 101:17 128:6,10 129:18,21 143:11 145:15 232:19 237:3,15 287:4	
queries 207:9	questionnaire	quiz 228:19 233:1	
question 49:9 50:6	176:21		
51:6 53:11	292:13,14,19		
54:11,12,13,21	293:2,3,5		
56:13 63:1 66:13	questionnaires		
70:9 72:7 73:16	244:15		
74:9,13,15 78:20	questions		
79:20 80:9	30:12,13,14		
82:3,8 83:16,22	40:13,18 41:9		
84:6,21 85:18	43:1 48:6,10		
86:10 88:1	53:9 58:2 59:15		
89:6,10 90:15,22	67:21 82:4,15		
91:1,5,10	107:6 119:18		
99:1,18 100:4	126:22 127:3,19		
101:14 102:4,16	129:18,19		
103:6 104:6	132:7,10,11		
105:12 110:12	133:8 145:19		
112:8,9	160:21 161:10		
120:16,21	174:10 182:18		
127:17 133:6	184:14 201:14		
134:12 137:18	207:9,12		
138:11,17,22	209:17,18,20		
		<hr/>	
		R	
		RAC 155:11	
		radar 200:6	
		raise 62:2 107:5 108:18 218:17	
		raised 19:2 97:2	
		raises 126:21	
		raising 4:7 198:6 224:10 273:19	
		ran 147:22	
		range 7:18 69:12 130:8,21 216:3 232:9	
		rapid 261:6,12	

<p>really 6:19,21 7:7 8:7,9,11,20 11:3,4,11 12:5,16 34:5 38:10 44:17 55:21 58:3 76:5 89:8 101:13 102:1,5 103:14 106:7,12 108:14 109:18 111:5 116:3,4 118:18 120:2 125:6 127:8 132:22 133:16 137:11 138:1,3,7 139:7,11 140:12,22 142:2 146:11 147:1,8 159:14,22 160:22 161:6,17,22 162:2,7 163:1,2,3,9 164:10 165:2,17 166:11 167:12 168:17 170:17,22 171:15,20 174:1,7 175:17 176:4,9 186:3 187:6,14 190:14 191:14 192:11,12 194:9,19,22 195:2 196:15 197:8,12,16 198:3,6 199:17 200:2,4 201:12 202:2,6 206:9 207:22 211:18,19,22 212:2,22 213:17 215:5 216:5 217:4 221:15 226:4 230:17 231:14 238:5 239:2 241:1 245:21 246:19 249:22 250:14,21</p>	<p>252:2,19 256:7 257:2,3,5 259:3,5,7,9 262:9,13,16,18 263:2,22 264:13,14 266:22 267:21 270:13,22 273:2 274:9 280:21,22 281:4 282:9,19,22 283:6,8 284:17 285:5,12 287:4,10 289:14,17 290:4 295:10 298:5 299:1 300:5,9</p> <p>realm 161:5 164:16,20 277:17</p> <p>reason 52:10 76:1 125:15 131:14 138:10 170:21 179:4 212:7 213:14 293:1</p> <p>reasonable 87:6,8 295:20</p> <p>reasonably 28:11 71:17</p> <p>reasons 24:1 46:14 139:2 146:7 177:9</p> <p>reassembled 19:7</p> <p>reassessing 138:4</p> <p>reassuring 179:17</p> <p>Rebecca 3:16 151:9 155:21,22 167:8,10 176:13 182:22 187:12 194:18 196:9 270:21 300:2</p> <p>recapitulated 21:3</p> <p>receive 82:10 266:12</p> <p>received 142:16 151:17</p>	<p>172:18,21 186:22</p> <p>receives 266:9</p> <p>receiving 173:22</p> <p>recent 114:15</p> <p>recently 15:4 21:11,15</p> <p>receptive 220:19</p> <p>recognize 7:8 27:13 47:1 88:20 118:13 192:22</p> <p>recognizing 24:10 88:3</p> <p>recombinant 119:12 122:15 200:14,16 252:10 266:11</p> <p>recommend 108:12 286:20</p> <p>recommendations 9:10,11 93:4 108:13 272:10</p> <p>reconciliation 269:13</p> <p>reconstructing 19:12</p> <p>reconstruction 19:15</p> <p>record 112:18,19 153:3,4 224:2,3 307:8</p> <p>recording 307:5</p> <p>recordings 308:8</p> <p>records 144:4</p> <p>recruited 301:12</p> <p>rectify 298:13</p> <p>red 87:10 198:21</p> <p>redact 278:7</p> <p>redaction 106:7 278:15 279:17,22</p>	<p>redactions 278:22</p> <p>redesign 249:4,7</p> <p>reduce 13:20 22:5 31:2 301:18</p> <p>reduced 130:12 307:6</p> <p>reducing 25:19</p> <p>redundancies 171:9</p> <p>redundancy 22:22</p> <p>redundant 95:19</p> <p>re-educate 223:11</p> <p>refer 33:17 109:13 229:12,13</p> <p>reference 278:8</p> <p>references 229:12</p> <p>referencing 276:3 278:6</p> <p>referred 21:9 238:1,11 244:9</p> <p>reflect 47:2 209:19 303:17</p> <p>reflected 30:1</p> <p>reflection 293:3,8,12</p> <p>reflections 292:12</p> <p>refresher 111:9</p> <p>regard 11:15 54:14 295:15</p> <p>regarding 21:1 40:13 134:12 151:13 261:15 270:10 296:22</p> <p>regardless 74:2 127:3,17 227:2</p> <p>regards 173:19 175:22</p> <p>regional 4:14 157:10 219:19 225:6 237:4</p> <p>regions 220:16</p>
---	--	---	---

<p>register 34:9,10 200:19 211:5,11 233:2 242:20 251:9 252:3 269:10,15,17</p> <p>registered 54:18,19 56:21 147:19 251:5 289:6,11</p> <p>registering 161:13</p> <p>registration 51:3 54:15 138:8 161:11 226:18 240:15 267:5,12</p> <p>registry 96:3</p> <p>regs 222:19</p> <p>regular 103:15 118:12 159:6 173:21 248:9,18 252:11</p> <p>regularly 13:13 173:15 261:9</p> <p>regulate 244:3 295:16</p> <p>regulated 126:4 271:14</p> <p>regulation 50:9 52:2 141:6 282:8 291:11</p> <p>regulations 22:20 46:19 50:11 51:16 169:7 171:13,19 226:14 229:17 231:2 233:11 295:15 299:6</p> <p>regulatory 115:3,9 182:9 255:3 283:17</p> <p>rehashing 305:7</p> <p>reinforce 289:17</p> <p>relate 90:6 126:22 186:15 296:13</p> <p>related 3:21 8:2</p>	<p>9:8 10:15 107:12 142:15 188:7 228:15 248:7 265:1 307:9</p> <p>relates 185:5 186:12 296:12,14</p> <p>relating 219:6</p> <p>relations 297:5</p> <p>relationship 64:15 140:9 173:8 175:13 258:21 282:2 290:21 297:16</p> <p>relationships 14:17 296:13</p> <p>relative 10:17 193:21 307:11</p> <p>release 165:22</p> <p>released 61:7 185:17</p> <p>releases 175:8,15</p> <p>relevance 202:10</p> <p>relevant 108:11</p> <p>reliability 165:3,4</p> <p>reliant 36:13</p> <p>relies 165:5</p> <p>relying 117:5 190:9 286:19</p> <p>remain 27:20 109:11 270:12</p> <p>remarks 4:22 111:7</p> <p>remember 133:6 201:21 228:21 269:18 292:7</p> <p>remind 11:19 106:22 269:10 299:3</p> <p>reminder 23:5</p> <p>reminders 228:4</p> <p>remiss 210:4</p>	<p>218:14</p> <p>renewed 227:9</p> <p>renowned 258:13</p> <p>reopen 148:11</p> <p>repeat 49:21 54:11</p> <p>replicate 65:8,15 73:8</p> <p>replication 66:10</p> <p>reply 83:4</p> <p>report 26:19 159:16 160:15,17,18 165:10,11 169:10,12 180:18 214:17 260:21 266:3</p> <p>reported 1:19 181:15 308:5</p> <p>Reporter 308:5</p> <p>Reporter's 308:7</p> <p>reporting 1:19 79:17 163:22 260:20 273:14 289:20</p> <p>reports 9:10 64:7,8 182:6 199:8 208:19,21 288:2,5</p> <p>representation 45:3 159:12 170:3</p> <p>representative 61:16 87:15 183:3</p> <p>representatives 4:20 13:6 14:8 169:21 209:12 267:14 268:20</p> <p>represented 9:17 27:1 110:6</p> <p>represents 15:13 115:12</p> <p>request 110:2</p>	<p>111:1 115:16 121:22 122:13 137:17 187:1 201:19 203:2 230:21 260:10</p> <p>requested 298:11</p> <p>requests 175:16</p> <p>require 39:6 64:14 82:11 95:3 147:18 160:16 226:17</p> <p>required 32:12 40:12 41:20 57:1 63:10 76:17 95:16 110:20 111:15 146:21 147:18 171:17 235:9,17 242:15 250:22 251:4,14</p> <p>requirement 29:10 97:11 110:8 280:14</p> <p>requirements 35:12 45:11 55:5 97:20 184:4 206:17,18 223:9 229:17 233:14</p> <p>requiring 85:14 88:5,21</p> <p>research 1:6 2:7,14 3:4,15,22 4:12,17 6:6,10,17 7:1,6,9,15,19,20 8:1,3,5,10,13,16, 21 9:8,14,20,22 11:3 13:13,16,21 14:11 15:3,7,10,14 16:1,5,11,12,22 17:1,5,6 18:5,10,13 20:5,18,21 21:8 22:6,9,13,15,18 23:7,21 24:13 25:8,19 26:8,13,14</p>
---	---	---	---

28:5,8,14 29:7,16,21 30:8,13,22 31:3 32:3,8 33:4,17 34:17,18 35:11 36:18 37:6,14,20 38:8 39:11 40:5,7 42:18 45:4,15,17 46:6 47:18,20 48:1 50:6 54:18 55:21 56:3,4,5 58:5,7,16 60:13,14,17 62:5,9,12,17 63:13,15,17,19 64:4 67:2 68:8,13 71:1,16 72:13,14,17 73:1,2,4 74:12 75:16 77:13,14,20 82:22 83:10 85:8,17 86:13 90:3 91:3,15,21 92:12,14,15 93:7 97:8 101:7,10,11 102:1 103:11,12 104:8,10,11,17 105:2,3,4,6,14,1 5 106:13,14 107:19,21 108:8 111:18,19 114:7 115:10,21 116:9,14,21 117:2,6,7,10,13, 14,21 118:11,13,14,21 120:10,13,19,20 121:4,10 122:3,15 123:8,12,13,19 124:1,3,19,20 125:4,11 126:6 127:18 130:20,21 133:8,9,17,21,22 134:12 135:5,7,8,11,14, 16,20,21 138:9	139:17 143:3,17 145:21 146:1 147:14,19,20 148:12,14,22 149:17 150:4 154:13,22 155:1,15 157:9 159:11 160:19 162:8,14,18 165:6,18 166:4,20 167:2,6,13,14 168:3,18 169:7,20 171:3 173:21 174:4,5,12,16,20 175:4 176:21 179:4,12,14 180:5 185:12 188:9 190:1 191:18 194:2 195:18 196:11,13 197:1,16,19 198:13 199:14,20 200:1,15 201:6 202:15 203:4,8 205:5,20 206:13 207:15,18 213:2,22 216:4 218:16,18 221:1 224:11,17,18,21 225:1,7,12 226:9,16,18,20 227:3,4,5,10,20, 21 228:11,15,21 229:2,3 230:3,8,16 231:10,11,21 232:6,13,14 233:17 234:19 235:5 238:18 239:12,15 241:13,15,21 242:8 243:5,8,16 244:1,3,12 245:9 246:10 251:6 253:17 254:2,4,7,19	258:3,4,9,12,19 259:2 260:18 261:13 262:4 264:17,20 265:16 266:9 269:9,14 275:14 276:4,12 277:13,16 278:1,4 279:3 280:7,9,22 281:5 282:6,12,14 284:15 285:21 286:9 288:3 290:2,5,10 291:21 292:6,7,8,11,17, 21,22 293:7,10,19 295:7,17 296:16 300:22 302:19 researcher 149:5 150:6 177:22 241:7,19 245:6 260:17 261:6 280:11,12 292:18 293:9 researchers 23:22 128:11 136:19 150:12 172:10,18,21,22 173:22 177:5 182:10 184:7 191:19 235:8 239:17,18 242:16 246:7 249:7 255:3,4,11,15 280:2 285:19 290:13 303:11 305:15 reserve 291:4,8,11 residues 126:11 resistance 69:5 resistant 99:12 resounding 67:10 resource 119:6 170:18 203:3	210:1 214:6,11 222:5 232:11 287:5 300:1 resources 92:14 142:16,20 207:20 209:14 213:17 214:1,4 220:8 284:7 285:11 286:20 300:6 respected 202:8 respirators 172:14 respiratory 93:20 94:6 respond 40:12 48:13 83:18 131:22 responding 173:19 187:5 response 43:16 44:10,12 62:21 67:22 79:9 83:17 85:5 98:10,14 134:7 168:7 173:17 223:18 293:4,7 responses 112:6 responsibilities 31:5,12 32:5 33:9 38:17 45:21 57:22 143:7 204:16,22 206:9 218:4 231:5 259:14 responsibility 30:20 31:20 38:7 45:14 59:3 78:4 112:2 198:2 204:4 225:15 228:20 229:1 234:7 259:13 274:19 275:12 289:18 293:10 responsible 8:5,8 31:22 34:18 36:18 45:15
---	---	---	---

<p>57:16 58:12,15 91:14 101:7 104:4 105:5 108:17 113:6 118:20 139:14 140:14 155:18 167:1 169:19 175:22 195:1 214:15 224:19 253:21 275:13,18 282:5</p> <p>responsibly 7:21 93:7 213:21</p> <p>rest 14:20 16:21 44:16 153:11</p> <p>restraints 184:9</p> <p>restricted 96:5 299:5</p> <p>restriction 102:6</p> <p>restrictions 269:8</p> <p>restrictive 141:5</p> <p>result 219:3 298:9</p> <p>results 7:20 105:4 108:19 172:9 181:14 185:12</p> <p>retroactively 106:11 277:15</p> <p>return 45:18 112:22</p> <p>returning 47:16</p> <p>review 35:16 36:10,16 39:14,15 46:4 53:4 55:15,17 59:21 60:7,9 63:12 67:4,15 68:3,4 104:3 108:6 123:10 124:21 127:4,10,11,16 129:12,16 130:18 133:2 140:3 147:17,18 148:10,16,20 158:19 159:1</p>	<p>160:8,12,13,15,2 0 162:6 163:20,21 164:1 167:13,18 168:10 169:11 174:11 178:5 181:17 185:19 211:15 231:17 234:19 237:22 238:4,12,14,16 240:17 242:5 243:12 245:4 249:9 252:21 254:18 261:5,11,21 271:10 288:2 292:10</p> <p>reviewed 56:6 83:5 127:18 131:13 132:15 147:21 150:7 181:15 226:19 235:2 237:8,21 238:4 252:18,21 255:20 271:9</p> <p>reviewing 2:14 113:2 130:13 139:16 140:11,14 216:12 237:12 271:7 273:9</p> <p>reviews 49:5 64:6 117:21 139:12 168:11 187:9 216:8 288:11</p> <p>revised 304:17</p> <p>Rich 222:7 304:9</p> <p>Richard 4:14 225:3,4 235:20</p> <p>Rick 55:3 68:18 73:12,14</p> <p>Ricketts 157:10,12,18 158:2,4,10,14 159:7 160:4 164:10</p>	<p>rigid 165:2</p> <p>rigorously 208:16</p> <p>ring 231:18</p> <p>rise 63:22 74:12 87:17 273:13</p> <p>risen 23:7</p> <p>rises 55:21 59:6 67:5 71:7 72:4,17 77:20</p> <p>risk 3:7 13:21 15:10,11 22:13 25:19 26:2,7 27:21 29:10,11,12,20,2 2 30:7,9,21 31:1 33:22 37:8,10 39:17 40:8 46:16 59:8 60:12,19 62:10,13 63:10 64:14 70:8,10 75:4,5,6,16,19 77:6 78:22 79:10 83:19,22 85:1 86:12 87:4 91:16 92:9,10,13,15,17 ,22 96:10 100:21 104:19,20 105:1 106:5 108:1,22 109:3 111:7 112:6 117:3,22 129:5 141:2 142:7 145:3,4 153:15 156:21 157:6 162:6,21 163:12 166:2,3,6,9,13 167:3,14 171:2,5,6,17,20 174:11 176:4 179:1 180:8,9,10 185:7 186:11,14 191:3 193:5,11,15,22 213:20 214:14 217:12 241:18 247:17 249:5 251:20,21 257:16 261:22</p>	<p>263:21 264:21 265:4 277:17 289:8,13,19 301:18</p> <p>risk-benefit 186:1</p> <p>risks 7:2,4 8:13 14:10 16:16 23:12 33:21 36:12 37:3 42:18 116:18,21 129:20 162:17 163:2 164:3,4 165:20,21 167:2 168:17 174:13,15 176:2,6,11 186:2 191:14 194:7 213:1 281:3 293:19 296:22</p> <p>RO 143:12</p> <p>road 11:5 274:5,12</p> <p>Robert 2:21 119:19</p> <p>robust 15:15 16:10 19:4 20:14 22:4 28:13 39:21 164:15 275:17</p> <p>Rockville 1:14</p> <p>role 9:2,7 15:6 48:20 58:9,18,20 59:21 113:7 117:19 118:15 142:19 157:6 208:11</p> <p>roles 45:21 178:17 259:14</p> <p>rolling 232:4</p> <p>room 6:6,13 13:4 20:10 30:18 174:4 185:2 186:8 188:20 221:20 262:22 279:22</p> <p>roster 94:12 208:2</p>
--	--	--	---

<p>roughly 204:7 round 192:19 roundtables 219:10,18 route 284:18 routine 235:11 281:17 routing 117:6 rubber 11:4 rubric 150:22 rude 256:22 rule 95:10 193:14 rules 154:2 run 86:2,3 125:18 130:6 191:7 running 13:1 182:10 289:5 Ryan 4:4 12:20 13:3 82:18 111:2 195:14 221:18,21 222:8 243:14 249:11 266:15,20,21 267:16 294:2,6 302:10 306:7 Ryan's 244:8</p> <hr/> <p style="text-align: center;">S</p> <hr/> <p>S3 31:11 41:15 42:14 203:11 205:9,22 210:1 220:22 221:6 SA 136:4 sad 227:14 241:11,14 243:6 safe 22:21 197:5 safely 93:7 197:1 259:4 safety 3:12,15 4:18 16:7 17:9 18:3 21:5 35:22 61:21 71:22 94:8,20 117:13</p>	<p>118:2 127:4 128:14 147:14 148:12,14 154:20 155:15 156:11 162:10,16 170:1 171:2 225:14,16 251:6 258:3,4,9 259:1,7,9 300:7 sake 179:6 salmonella 254:9 Sam 113:4 samples 125:20 Samuel 2:16 Sarah 65:11 satisfactory 227:6 save 156:13 saw 293:17 301:6 scenario 23:5 81:4 173:19 281:14 scenarios 239:17 schedules 306:4 School 3:20 148:18 schools 183:22 science 2:4,6,8 5:4 6:4,16 7:12,15 8:3,8 9:2,4 10:8 12:9 24:8 25:13,15 35:20,21 36:11 119:11 128:8 129:3,18 131:19 132:17,19,21,22 146:11,13 156:1,2 169:2 174:17 195:1 207:21 210:6,12 224:8 238:8 275:19 301:17 science-based 167:4 sciences 1:6 3:22 7:20 8:11</p>	<p>13:13,19 15:2,7,14 16:1,5 17:14,20 22:12 24:12 25:7 35:11 71:16 92:12 162:15 200:1 218:18 221:2 305:15,22 scientific 19:3 61:5 128:21 139:10 145:21 154:12 197:18 198:8 218:9 229:18 296:19 scientist 168:4 scientists 20:6 31:21 36:10,15 37:2 60:18 127:21 141:21 167:5 172:3 202:9 239:1 300:22 scope 39:11 45:20 63:15 212:5,7,15,19 scoped 272:18 scratch 205:19 215:11 screen 200:6 228:7 screening 237:11 252:15 screenshot 198:14 207:16 208:18 scrutinizing 243:5 scrutiny 226:9 230:7 seat 89:8 267:15 seats 113:1 second 32:11 68:8 131:2 144:17 158:10 160:13 207:21 273:15 279:21 281:7 297:4 secondary 169:13</p>	<p>288:13 Secretary 43:16 secretory 48:20,21 50:19 64:21 section 29:13 30:2 126:20 151:1,2 194:10 240:9 252:15,17 sections 181:11 sector 17:9,21 28:20 154:16 sectors 7:18 15:14 116:11 secure 22:21 security 4:9 7:12 16:9 17:21 21:18 61:22 72:2 95:9 116:12 139:18 157:17,18,19,20 161:4 162:10,17 164:21 166:7 170:2,5 171:2 173:11,12 183:1,8,10,16,18 185:11 224:8 262:8 291:3 300:7 security-sensitive 211:14 seeing 15:4 166:15 seeks 49:3 seem 243:17 seemed 241:15 seems 8:17 78:4 142:17 144:12 243:1,6 295:18 296:15 299:22 seen 32:4 102:15 120:7 124:19 143:4,11 183:14 192:5 196:9 201:4 264:18 281:12,13 295:8 301:21</p>
---	--	---	---

<p>sees 213:8</p> <p>SEGIS 181:3</p> <p>segments 126:12</p> <p>segue 107:10</p> <p>select 3:16 26:3 46:18 50:2,4,8,10 51:12,15 54:15,19 55:5 57:15,17 58:15 94:22 95:2,3 96:1,15 98:1,11 102:11 113:6 121:20 124:7,8 128:15 134:16 135:2 136:3,5,17,20 137:22 138:2 139:13 140:8 145:2 153:19,21 154:2,4 155:18 156:5 158:1,3,6,12,13 159:8 160:6,7 161:5 170:8 171:18 176:8 183:19,21 184:5 189:11 193:13,14,21 194:4,5,6 195:5 204:12 229:3 240:6 242:18,19,21 243:21 244:12,14 245:10,12 246:8,9 247:17 251:17 253:13,14,15,21 256:5 265:17 275:5</p> <p>selected 52:10 228:14</p> <p>selection 299:5</p> <p>self 253:4</p> <p>self-created 234:3</p>	<p>self-grading 233:2</p> <p>self-guiding 233:8</p> <p>self-identify 189:2</p> <p>self-identifying 118:10</p> <p>semi-annual 290:3</p> <p>send 11:16 42:14 78:6 132:10 190:17 200:12 228:4 257:12 266:17 288:14 289:7 290:16</p> <p>sending 215:14</p> <p>senior 4:4 58:7 60:17 195:14</p> <p>sensationalism 175:18</p> <p>sense 29:17 41:8 101:19 126:7 140:4 180:22 193:3 220:18 243:18</p> <p>sensitive 33:4 172:22</p> <p>sensitivities 270:5</p> <p>sensitized 34:14</p> <p>sent 150:17 181:16,18 189:5,6</p> <p>sentiments 268:15 304:4</p> <p>separate 149:11,14,21 159:14 182:2,5 252:14 284:4,5</p> <p>September 8:19 89:18 121:5 140:4 213:9,10 226:15 259:20</p> <p>sequelae 59:9</p> <p>sequence 19:12 35:4</p> <p>sequences 126:10</p>	<p>SERCEB 237:5,8 238:9,11</p> <p>sergeant 170:3</p> <p>series 21:12 22:2 214:20</p> <p>serious 46:12 248:11</p> <p>seriously 165:15 229:1,15</p> <p>serve 202:2 287:1 300:19</p> <p>served 60:8 184:1</p> <p>serves 155:17 156:3 224:22</p> <p>service 154:22 234:12 269:7 287:17 288:20</p> <p>services 43:15 170:1</p> <p>serving 154:1,3 155:12</p> <p>session 43:22 79:12 90:19 91:17 112:21 113:1 125:10 151:22 155:7 265:10,14 267:1,4,18,22 268:6 304:22</p> <p>sessions 103:4 145:3 206:13 244:18</p> <p>sets 107:11 232:4</p> <p>setting 19:3 22:1 109:7 135:6,7,8</p> <p>settings 36:7 104:13</p> <p>settle 191:4</p> <p>setup 101:13 170:11</p> <p>seven 26:17 27:5 28:4,6 29:2 47:21 53:9 62:18</p>	<p>63:4,18 64:18 65:11,12 68:11,12 71:2 72:15 76:21 121:22 126:22 127:1 130:8 149:1 160:21 161:2,22 176:17 183:21 208:21 226:1 231:20 232:7 249:16 254:13 292:14</p> <p>several 34:6 46:17 79:15 103:2 137:12 147:22 206:11 210:6 242:13 254:5 279:4</p> <p>severe 114:19</p> <p>shaking 51:16</p> <p>shame 166:21</p> <p>share 182:18 194:14 271:3 285:10 296:3</p> <p>shared 89:16</p> <p>sheet 181:10,12</p> <p>shepherd 25:18</p> <p>Sherman 186:9</p> <p>Sherry 61:14 82:15 84:8 90:13 283:10</p> <p>Sherry's 84:10</p> <p>she's 226:7</p> <p>shift 259:9</p> <p>shifted 78:4</p> <p>shipment 21:16</p> <p>shook 194:18</p> <p>shop 92:8 220:22</p> <p>short 140:22 216:6</p> <p>shorter 216:10 268:5</p> <p>shot 219:15</p>
--	--	---	--

<p>shout 145:10 173:11</p> <p>showed 191:17 196:7</p> <p>shower 97:20 98:6 172:16</p> <p>showing 228:13 246:16 264:1</p> <p>shown 189:4 279:14</p> <p>shows 196:21 211:9</p> <p>SHU4 96:2</p> <p>shuffles 144:2</p> <p>SHUS4 50:17 51:14 65:2</p> <p>shut 247:2 248:19</p> <p>sign 112:12 165:12 222:16,17 231:12 251:9 267:6,12 297:17,18</p> <p>significance 65:16 105:8</p> <p>significant 17:7 18:3 20:2 41:11 71:21 73:10 75:18 268:1</p> <p>significantly 128:6 191:5</p> <p>signs 152:11,12 165:7</p> <p>Silence 6:2</p> <p>silent 88:4</p> <p>similar 35:15 56:18 124:3 126:17 179:19 220:3</p> <p>similarly 108:9 109:11 187:9 266:11</p> <p>simple 204:3 246:3 247:8</p>	<p>250:21,22</p> <p>simpler 247:8</p> <p>simply 201:6</p> <p>Sinai 3:20 148:19 156:8</p> <p>Singer 202:11</p> <p>single 174:9 230:8 257:14 259:21 275:15</p> <p>singling 190:19</p> <p>sins 231:20 232:7</p> <p>sit 189:18</p> <p>site 253:16 285:15 286:22</p> <p>sites 285:16</p> <p>sits 159:5</p> <p>sitting 201:12 217:11 301:15</p> <p>situation 89:15 129:14 194:15 216:16 251:3 287:11</p> <p>situational 33:2 34:11 41:13</p> <p>six 59:15 63:1,11 67:12 69:2 132:15 160:5 268:13</p> <p>skills 258:16</p> <p>skipping 59:15</p> <p>slated 305:4</p> <p>slide 23:4 71:14 72:8 76:13 177:19 205:8,9,10,13,21 213:18 226:10 236:10 242:4,6 244:8 249:18 302:8</p> <p>slides 31:8 41:20,21 177:1,16 199:14 205:10,14,15,16</p>	<p>206:18 217:15 218:10,11 226:3 227:18 228:10,12,14 231:2 233:6 235:19 236:8 244:8 246:14,17 247:9 248:1,2 249:10,16 250:21</p> <p>slideshow 199:12</p> <p>slippers 23:18</p> <p>slipping 37:21</p> <p>slowing 213:2</p> <p>SM 2:21 3:18</p> <p>small 279:10,14,15 303:15</p> <p>smallpox 21:13</p> <p>SMEs 140:19</p> <p>smile 154:9</p> <p>smooth 145:6 187:8</p> <p>snakes 152:10</p> <p>so-and-so's 32:21</p> <p>socialize 56:14</p> <p>societal 231:4</p> <p>societies 103:5 218:9</p> <p>society 165:19 218:6</p> <p>solely 117:5 189:2</p> <p>solution 139:19</p> <p>solves 39:19</p> <p>somebody 35:15 122:7 124:3 127:22 128:16 131:9 189:21 226:2 258:5 259:6</p> <p>somehow 136:6 303:8</p>	<p>someone 20:3 21:4 39:3 60:13 69:2 133:15 204:12 223:6 276:7,10 277:2 282:21 286:10 290:15,16,19 298:3,4</p> <p>someone's 66:21</p> <p>something's 296:20</p> <p>somewhat 6:15 128:4 226:14 231:10 289:9</p> <p>somewhere 128:19</p> <p>SOPs 158:21</p> <p>sorry 65:11 66:7 70:16 71:8 87:19,20 89:5 95:1 96:21 149:3 270:18 276:11 279:20</p> <p>sort 6:19 7:10,11,18 11:7 18:12 23:19 24:6 28:9 29:7 31:7 33:10,11 35:8 36:19 37:4 48:9 93:20 107:3 108:1 142:19 185:4,17 188:1 230:19 231:18 236:13,15 240:19 245:17 248:12 253:3 267:22 276:17 290:2 295:6,10 301:8 303:4,18</p> <p>sorts 11:9 35:5 37:14 42:12 107:6 303:12,16</p> <p>sound 8:8 67:17</p> <p>sounds 43:13 86:1 126:17 240:12</p> <p>source 70:8 81:21 265:18 281:11</p>
--	--	--	--

<p>sources 136:8</p> <p>southwest 157:16</p> <p>space 14:6 23:22 96:3</p> <p>spaces 289:12</p> <p>speak 105:19 109:18 139:3 140:7 167:11 187:20 291:10</p> <p>speaker 10:2 49:12,15,17,20 50:1 51:9,11 52:8 53:10,16,18 54:4,6,9,13 55:1 56:17 57:6 58:11,14 59:1 60:10,22 61:4,15,19 62:1,15 65:22 66:6,8,13 67:8,13 70:6,15,17,19 72:7 73:13,15,22 74:4,14,21 75:8,11,13,20 76:19 77:4,10,21 78:10,14,16 79:4,13 80:3,8,16,21 81:8,13,17 82:16,19 83:1,13 84:5,12,16 85:3,10,20 87:12 90:15,21 91:10 93:19,22 94:3,10,16,19 95:6,13,17,21 96:17,22 97:9 98:8 100:2,10,12 101:12 102:19 103:9,17 105:11,17 107:16 108:18 109:17 110:16,19 113:11 125:8 132:12 133:4 134:11</p>	<p>135:2,3,7,10,13, 18 139:22 144:10 145:11,12 146:22 147:11 151:9 182:22 184:18,21 185:3 186:9 191:1 221:18 222:1,4 226:6 235:21 236:3 249:14 260:14 275:21 277:21 281:6 282:4 291:1</p> <p>speakers 262:10</p> <p>speaking 156:14 207:20</p> <p>special 195:21 291:16 292:2</p> <p>species 50:15 124:10 178:17</p> <p>specific 17:9 70:14 80:6,10 81:6 88:21 96:14 97:14 139:8 155:6 169:5 171:21 174:17 187:17 193:15 205:16 216:17,19 221:2 238:3 290:9 298:22</p> <p>specifically 8:22 48:19 50:21 132:20 177:18 203:9 204:5 206:4 209:9 272:13 299:1</p> <p>specifics 107:11</p> <p>spectrum 123:12</p> <p>speech 305:5</p> <p>speed 281:8</p> <p>spells 151:4</p> <p>spend 9:1 14:7 29:14 214:2</p>	<p>305:7</p> <p>spending 115:15 199:3</p> <p>split 206:16</p> <p>spoken 267:15</p> <p>Sponsored 118:4</p> <p>spot 90:8</p> <p>spray 256:17</p> <p>spread 115:11</p> <p>spring 114:16 229:21</p> <p>SRA 181:3</p> <p>St 3:4 125:10,16 126:16 127:19 128:10 131:9 141:12</p> <p>stab 76:18</p> <p>stability 69:8 274:7</p> <p>staff 46:4,5 97:1 118:4 151:17 159:13 165:6 202:13 226:22 227:16 228:21 231:6 255:13 258:1,2,3,9,10,1 8,19</p> <p>staffed 41:5 60:1,9</p> <p>stage 57:12 91:22</p> <p>stages 101:9</p> <p>stake 9:14</p> <p>stakeholder 1:4 4:19 6:9 25:16 108:9 211:3 267:19</p> <p>stakeholders 6:6 8:18 11:8 45:12,22 47:11 197:18,22</p> <p>stand 17:18 84:14 152:18 153:1,7 181:4 284:4</p>	<p>standard 72:3 87:17 99:15 134:16 158:15 161:11 164:9 205:7</p> <p>standardized 158:18</p> <p>standards 250:7 296:15</p> <p>standing 123:11 196:12</p> <p>standpoint 20:22 34:2 124:13,14,19 136:13 255:3 256:3 259:1,2 260:1 289:19,20</p> <p>start 34:22 35:2 112:21 144:21 148:1 156:15 197:6 205:19 215:18,20 224:5 228:6 252:4 255:21 260:8 262:14 263:7 267:3 269:4</p> <p>started 14:9 67:11 132:14 148:19 177:9 183:15 193:2 203:6 237:10 238:22 239:15 241:12 254:6 275:4</p> <p>starting 153:9 193:11 255:9</p> <p>starts 163:15 227:15</p> <p>startup 117:7</p> <p>state 2:22 4:13 14:14 15:1 115:4,7,15 119:20 120:11 123:9 126:18 136:22 168:6 170:8,14 173:9 184:10</p>
---	--	--	--

<p>224:16,19 226:3,8 228:11 230:12 234:5 235:8 272:13 294:17,21 307:19</p> <p>statement 123:2</p> <p>statements 210:4,18,19</p> <p>state-of-the 157:13</p> <p>state's 295:5</p> <p>States 14:19 35:11 114:20</p> <p>static 27:12</p> <p>status 10:4 197:19 206:22 219:5 220:4</p> <p>statute 185:16</p> <p>stay 192:14</p> <p>stem 174:1</p> <p>stemming 24:12</p> <p>step 76:15 172:11 250:19 251:21 275:19</p> <p>Stephen 4:11 224:15</p> <p>Stephen's 246:16</p> <p>stepping 15:5</p> <p>steps 63:7 70:4 76:3 152:18 166:1 246:1 271:16</p> <p>stewardship 136:4</p> <p>stick 150:16</p> <p>stigmatize 279:3</p> <p>stock 137:15</p> <p>stocks 180:21 181:8</p> <p>stomatitis 150:13</p> <p>stood 151:19</p>	<p>304:12</p> <p>stool 28:10</p> <p>stop 42:20 89:21 103:18,20 154:15 167:6 195:10 220:22</p> <p>stops 294:13</p> <p>stories 238:8</p> <p>storing 136:15</p> <p>straight 261:18</p> <p>straightaway 261:18</p> <p>strain 50:17,18 51:11 65:2 66:19 98:12,15 99:3,6,14,17 100:16 169:5 178:2 278:3</p> <p>strains 46:17 99:6 100:18 160:9 164:6 172:6 179:22 254:2</p> <p>stranger 155:9</p> <p>strategies 29:11 30:7,21 31:2 156:22 214:16 220:5 239:21 241:3,4 264:16,21</p> <p>strategy 29:12 30:10 40:8 101:6</p> <p>streamline 300:13</p> <p>streamlined 80:22</p> <p>stress 258:1,17,18</p> <p>stretch 133:13</p> <p>strive 196:16 197:2</p> <p>strong 16:9</p> <p>strongly 7:22</p> <p>struck 262:18</p> <p>structure 52:16 159:20 290:19</p>	<p>structures 52:14 157:4,6 305:21</p> <p>struggle 141:13 284:18</p> <p>struggling 125:5 284:19 285:4,12</p> <p>stuck 178:4 263:15</p> <p>student 34:7 301:5,21</p> <p>students 33:15 166:19 172:3 300:22 301:11,22</p> <p>studied 178:18</p> <p>studies 41:18 117:10 131:10 132:4 138:6 215:21,22 216:1,2,6,8 217:16</p> <p>stuff 127:11 243:19 247:16 263:19 264:12 283:19 284:21 293:2</p> <p>stumbling 304:7</p> <p>sub 271:9</p> <p>subcommittee 128:3 142:19 146:8,19 147:21 148:16 149:8 167:19 187:16,21 189:6</p> <p>Sub-Committee 3:4</p> <p>subject 2:15 25:9 39:8 46:18 49:10 51:7,15 55:13 56:3 60:7,11 68:7 69:17 101:16 108:16 160:7 200:17 201:9,14 216:4 235:5 262:1</p>	<p>270:2 272:4,12 282:15</p> <p>subjected 69:14</p> <p>subjective 36:20,21 69:14 76:5,10 92:17 302:18</p> <p>subjectivity 27:4 302:16</p> <p>subjects 8:4,5 222:20</p> <p>submission 59:7 126:19</p> <p>submissions 118:7</p> <p>submit 44:3 52:2 55:14 62:10,12 120:18 122:17 148:7 226:17 251:1 252:3,6,12 298:4</p> <p>submits 129:16</p> <p>submitted 79:1,6,8 108:2 121:9 130:3 227:1 231:22 290:2,6</p> <p>submitting 83:19 148:1,6</p> <p>subpopulation 162:2</p> <p>subscribe 210:15</p> <p>subscribers 210:7</p> <p>subset 107:13 279:10,16</p> <p>subsidy 86:5</p> <p>subspecies 46:17 49:1 50:9,15 65:2 93:12</p> <p>substances 271:15</p> <p>substantial 239:11</p> <p>substantive 31:1</p> <p>subtopics 207:18</p>
---	--	--	--

<p>success 264:1</p> <p>successful 165:12 202:21</p> <p>successfully 233:5</p> <p>sufficient 99:20 115:22 213:15</p> <p>suggest 93:13 127:15 269:17 270:14 274:19</p> <p>suggesting 72:9</p> <p>suggestion 273:15 288:1</p> <p>suit 256:13,17</p> <p>suite 25:17</p> <p>sum 201:11 277:19</p> <p>summarize 193:20</p> <p>summary 233:15</p> <p>summer 14:7 21:12</p> <p>supervision 307:7</p> <p>supplies 114:12</p> <p>supply 16:8 19:18 20:1 97:18 124:6</p> <p>support 8:8 13:12 16:4 33:22 80:11,12 84:22 86:11,13 91:2 110:17,18 143:1,2,5 225:7,16,17 258:1,2</p> <p>supported 15:2 79:21 86:14</p> <p>supporting 36:15 45:6 258:10</p> <p>supposed 48:5 160:17 241:7 285:3</p> <p>sure 10:14,20 12:22 14:18 15:15 16:10,15,18</p>	<p>17:19 22:4,16 23:10,13 28:18 29:16 30:21 31:15 32:14 33:6,12,19 34:4,8,11 35:20 37:2 38:3,11 40:1,3,9,16 41:12 42:5,12,15 52:9 54:5 57:19 61:8 81:4 84:18,21 109:14,22 110:10 129:11 134:1 136:6 137:13 138:4 164:13 181:5 183:11 187:7 196:22 210:13 222:8 226:1 240:13 260:8 268:3,9,15 274:13 287:4 291:18 300:17 305:11,22</p> <p>surface 64:22 65:4,5,19 68:4 73:6 99:13</p> <p>surprise 90:5</p> <p>surprised 73:17 137:11 209:20 243:9</p> <p>surrounding 115:21</p> <p>surveillance 132:21 164:15</p> <p>survey 190:17</p> <p>survive 65:8,15 73:8</p> <p>Susan 2:8 5:3 12:9,12 43:7 44:2 45:17 47:8 68:14 69:13,18 85:19 139:22 195:19 294:9,15 296:9 304:16</p> <p>Susan's 47:8</p>	<p>264:11</p> <p>susceptibility 69:3 164:12</p> <p>susceptible 99:3,14 100:17</p> <p>suspect 193:3</p> <p>suspecting 6:12</p> <p>sustain 198:1</p> <p>swap 126:12</p> <p>swayed 168:16</p> <p>sympathize 286:21</p> <p>symposia 103:5</p> <p>symposium 283:12</p> <p>synced 160:14</p> <p>synthetic 122:16 266:11 275:3</p> <p>system 46:13 48:20,22 50:19 57:10 116:7 123:16 126:17 171:11 179:7 232:17 234:2 250:15 252:9 286:19</p> <p>systematic 303:4,22</p> <p>systematically 270:11</p> <p>systems 64:21 95:19 178:7 213:13</p> <hr/> <p style="text-align: center;">T</p> <hr/> <p>T3SS 49:2 54:2 63:13</p> <p>table 128:5 199:6 267:5,12,16</p> <p>tact 223:10</p> <p>tailored 299:1</p> <p>take-home 273:1</p>	<p>taking 142:18 166:1 214:13 268:11 287:15 290:10 306:3</p> <p>talented 197:4</p> <p>talk 10:6,10,16,19,21 35:4,6 47:7 48:8 58:3 59:14 62:6 63:3 79:7 81:11 88:19 90:18,20 91:13,15 111:11 115:17 156:21 158:1 161:14,22 164:7,12 168:14 171:7 204:14 214:3 224:12 236:14,21 238:2 246:16 249:17,22 250:3 251:22 252:4 254:3 257:18 259:3 263:4 270:8 283:12,16 285:9</p> <p>talked 27:6 28:10 62:7 76:4 138:19 151:19 167:20 175:9 183:8 208:10 238:20 241:6 243:2 246:1,8 247:12 249:11 252:16 258:5 276:20 284:19 293:16 295:2 299:18 300:21 302:15</p> <p>talking 10:2 12:22 106:6 107:16,22 115:15 158:2 163:10 175:7,12 214:3 236:6 239:2,5,15 245:18 254:6 255:1 269:8 276:7 288:11 293:18 300:2</p> <p>talks 59:17 97:15</p>
---	---	---	---

<p>249:21 tangled 182:8 tanks 97:19 tapped 119:7 targeted 119:3 135:22 203:9 204:4 targeting 220:18 targets 179:8 task 159:2,5,9,14,16, 18 160:10,18 163:11,16 169:13,14 175:9 183:3 186:21 187:18 198:7 279:3 287:15 288:9 taxi 267:6,9 306:7 Taylor 157:10 teach 206:7 236:22 237:1 242:13 244:22 teaching 258:15 289:16 team 60:20 139:10,13,15 249:13 259:16 teams 232:13 technical 36:1 47:1,3 90:22 154:5 technically 33:20 34:1 143:18 168:22 169:6 technologies 16:13 17:3 22:15 71:19 technology 2:9 5:4 6:16 10:8 12:10 27:16 183:1 224:8 248:3,7,8,15 techs 33:15</p>	<p>template 214:17,19 templates 215:9,11,12,17 ten 250:10 tend 151:13 165:13 tends 9:21 term 28:8 58:4 196:14 terms 25:20,22 26:7 27:13 28:1 35:9 109:17 158:7 159:1,20 162:6 163:19,22 165:3 187:4 191:12 193:11 222:17 230:2 232:8 246:20 273:9 286:20 297:8,18,21 298:15,21 303:21 305:12,20 test 194:8 testament 196:6 testimony 307:4 text 229:13 thank 12:5,15,18,20 13:3,5 43:4,7 48:11 55:2,3 57:3 65:12 73:22 78:20 82:14 84:8 87:19 89:7 90:13 92:5 100:18 106:20 107:9 111:2 112:12,13 113:14,15 119:16,22 120:1 132:5 151:6,10 152:3,4,5 154:19 167:7,10 176:12,13 182:12 196:7 199:4 221:19</p>	<p>222:7 225:20 236:5 248:18 249:19 260:5 266:22 267:1 275:20 281:6 287:22 291:5 293:13,14 305:4 306:3,10 thanks 12:14 120:2 156:17 265:15 296:6 that's 9:12 17:1 18:12 22:19 24:5,22 25:17 27:10 28:13 29:8 31:10 32:13 33:7,13 35:16 38:6,21 39:14,19 40:4,15,20 43:12 46:11 53:11 60:16 61:10,11,12 66:14,16 70:22 74:13 76:1 78:6,17 79:19 82:2,3 84:9 85:18 90:12,20 91:7,13 95:15 100:5,13 101:16 102:7,19 103:5 104:5 106:9,11 110:14,21 111:20 117:6 119:18 120:14 124:4,6 125:4,5,6 127:15 128:9,10,17 130:16,17 131:2,3 132:5 138:16 141:10 143:6 146:7,13 155:21 161:6 164:1 166:10 170:17 174:14 176:15,21 177:8 178:3 179:3 180:19 183:5 186:4,5 189:19 190:12 194:4,19</p>	<p>195:4,5,19 199:10 202:18 207:19 208:2 211:8 212:15 219:3 220:14 223:3,16 226:10 228:6 233:9 234:13 239:5 240:11,22 242:12 243:11,18 244:7 245:21 246:17 247:12,14,16 249:22 253:2 258:10 262:13 264:13 265:7,18 266:14 267:16 271:20 274:18 275:4 276:16 277:6 278:18 280:6,20,21 281:3,14,18 282:22 284:11,14 285:8 288:5 290:19 295:1,20 296:18 300:4 302:2 theme 16:14 278:13 284:17 themes 196:8 themselves 34:22 121:14 140:2 194:15 theoretically 134:17 therapeutic 69:6 therapy 137:6 164:13 thereafter 307:6 thereby 66:10 therefore 51:15 55:22 62:9 63:19,22 160:7 there's 10:18 25:3 29:21 34:16 40:2 88:5,11,17,18,21</p>
--	--	--	---

<p>110:4 128:6 143:10 149:22 175:20 180:9 194:10 196:12 212:3 214:20 228:10 258:7 269:10 273:11 274:6 276:18 280:10 294:10 295:10,12,13 301:4,10 302:11</p> <p>they'd 243:10</p> <p>they'll 57:1</p> <p>they're 26:2 28:4,5 30:1 32:15,16 33:6 34:14,15 36:4 56:15,19,20,21,2 2 57:9 79:17 83:7 111:20 118:15 119:7 120:13 122:6 125:22 127:5,7 136:22 139:8 141:22 150:4 158:14,15 160:17 165:14 171:18,19 172:20 178:5,19 179:7 181:2,3,5,9 186:20 187:22 188:10,12 190:16 191:20 192:8 197:13 201:4 204:14 211:13 212:13 216:19 243:11 251:14 252:1 253:7,9 254:4 258:13 281:21 282:8 283:14,21 285:3 287:20 303:20</p> <p>they've 85:17 87:12 105:21 106:3 158:16 187:3,4 189:6</p>	<p>219:17 235:12 243:7</p> <p>third 28:9,16 156:7 249:14 262:22 302:18</p> <p>Thirty 77:10,11</p> <p>thorough 185:8</p> <p>thoughtful 197:21</p> <p>thoughts 8:20 104:5 169:11 212:19,20 254:8 268:18 270:10 276:19</p> <p>thread 270:1</p> <p>threat 17:8 18:3 71:21 73:10 75:18 115:13 144:18,19,21 161:3 162:11</p> <p>threaten 161:17 162:9</p> <p>threats 114:7 116:9 225:9</p> <p>three/five 285:18</p> <p>threshold 136:8,9 137:1,9,16 190:6</p> <p>thro 43:10 123:15</p> <p>throughout 34:18 106:14 193:10 278:13</p> <p>throw 44:19 205:15 246:2 248:1,10 283:15</p> <p>throwing 240:3</p> <p>throws 245:6</p> <p>thus 140:21 149:18</p> <p>tie 26:3 95:7 186:10 291:18</p> <p>tiebacks 172:15</p> <p>tier 95:3,16 96:8,15 97:11 98:1 160:6</p>	<p>189:11</p> <p>tight 95:18 195:22</p> <p>time-consuming 133:3</p> <p>timeframe 86:20 87:7</p> <p>timeline 124:18 186:17 187:5</p> <p>timely 8:18 134:8 234:19</p> <p>timed 117:13</p> <p>tireless 38:20</p> <p>titled 252:15</p> <p>toady 23:17</p> <p>today 6:8 7:7 8:14 9:5,17 10:6 11:14,21 12:1,17 13:2,7 15:22 16:17 25:9 30:18 40:20 41:18 45:16 52:7 68:14 69:13 106:6 120:3 127:2 148:2 151:19 153:12 156:18 166:15 167:11,20 183:7 207:4,20 248:11 252:16 254:5 259:22 262:10 270:22 283:14 295:13 296:4</p> <p>today's 43:17 44:15</p> <p>toggle 47:14</p> <p>tone 173:14</p> <p>tons 201:18 257:13</p> <p>tool 202:2 204:11 217:1,5,14,17,20</p> <p>tools 16:13 213:17 214:1</p> <p>top 99:9 122:12,13 123:3 152:18</p>	<p>153:1</p> <p>topic 153:14 210:14 211:4 213:7 239:13</p> <p>topics 268:8</p> <p>tot 89:18</p> <p>total 121:12 277:19</p> <p>totally 186:4,5</p> <p>touch 34:8 203:21 241:19</p> <p>touched 299:10</p> <p>touching 270:18</p> <p>touchy 101:16</p> <p>tough 284:11 288:21</p> <p>toward 105:13</p> <p>towards 25:6</p> <p>toxicity 122:20</p> <p>toxin 19:19 68:19,22 69:4,5,10,13 124:5,6,8 135:21 136:2,15 154:4 190:6 243:3,6,8 245:16 246:11 251:19</p> <p>toxins 47:19 50:7 77:13 116:13 117:2,9,22 119:14 190:18 226:17 229:5 251:19 265:17</p> <p>track 230:6 275:4</p> <p>tracking 108:12</p> <p>trade 164:4</p> <p>traditional 217:6</p> <p>traffic 198:19</p> <p>tragic 248:17</p> <p>train 205:1 242:14,15,19 244:10,17</p>
---	--	---	---

<p>trained 144:20 145:1 242:20 244:6</p> <p>training 3:21 31:10 41:19,20,21 56:18 57:2,20 59:16 111:8,9,11,16,22 142:20 165:10 200:21 201:2 204:1 205:8,20 213:16 217:5,6,14 218:2 227:1,4,6,7,12,1 9 228:2,4,11,17,22 229:6 231:12 235:8,10,11,17 236:6 237:12 242:21 244:18 246:10 249:10 253:1,9 254:20 255:22 256:9,16 257:2,5,7 259:17 264:22 265:2,12</p> <p>trainings 56:18</p> <p>traits 99:12</p> <p>transcript 308:6,9</p> <p>transcription 307:7 308:1,10</p> <p>Transcriptionist 308:17</p> <p>transfers 136:19,20</p> <p>translated 14:20</p> <p>transmissibility 20:12 21:2 69:9</p> <p>transmissible 18:22</p> <p>transmission 178:2,18</p> <p>transmit 178:16</p> <p>transmittal 21:21</p> <p>transnational</p>	<p>281:17</p> <p>transparency 109:22 110:18 145:15 146:5 159:22 185:5 197:2</p> <p>transparent 13:18 42:5 109:5 110:11 196:17 211:10</p> <p>travel 195:22</p> <p>treat 276:14</p> <p>treated 164:19</p> <p>treating 256:11</p> <p>treaty 295:5</p> <p>tremendous 119:6 248:17 306:2</p> <p>Trevor 2:19 113:11</p> <p>triage 81:20</p> <p>trial 245:5</p> <p>Tricia 144:10</p> <p>trick 251:7,8 252:2</p> <p>tried 37:12</p> <p>tries 175:18</p> <p>triggered 117:9 161:1</p> <p>triggers 127:4</p> <p>tropism 66:3 69:12 130:9,12,13 150:17 151:5 161:14 179:15 240:22</p> <p>tropisms 130:15</p> <p>trouble 281:13</p> <p>truck 137:10</p> <p>truckload 137:9</p> <p>true 8:1 11:9 61:21 180:1 227:14 280:7</p>	<p>307:7 308:9</p> <p>truly 168:19 169:7</p> <p>trust 100:11,14 185:4 222:15 297:17</p> <p>truth 7:13</p> <p>truthful 105:7</p> <p>try 43:3 113:20 142:3 172:6 179:20 186:22 187:1 227:13 279:3 295:17 305:18</p> <p>trying 71:9 126:7 139:8 141:1,11 162:1 179:7 184:20 192:6 205:18 216:14 228:7 239:15 262:14 274:13 277:15 300:16 301:18</p> <p>tularemia 46:11 48:16 96:2</p> <p>tularensis 46:10,12 48:18,22 50:16 51:13,14 52:3 54:21 55:11,12 65:1,2,5,8,14,18 66:20 73:7 93:12,13</p> <p>tulli 100:2</p> <p>tumor 136:1</p> <p>turn 43:5,8 125:7 133:16 152:6 154:15 225:19 241:10 249:14</p> <p>TURNBULL 308:4,17</p> <p>turned 152:21</p> <p>TV 199:12</p> <p>twist 133:16</p> <p>two-way 293:20</p>	<p>type 18:20 20:21 29:16 30:8 33:3 35:15,17 36:8 40:5 48:20,21 50:18 64:20 97:6 103:12 111:19 117:2 126:1 142:21 156:5 171:5,9 172:4,14 173:21 174:10 178:2 180:5 199:4 209:1 212:21 215:16 216:18 227:20 229:16 231:11 232:5 278:22 279:17 282:1 285:5 293:22 298:6</p> <p>typed 308:6</p> <p>types 26:20 109:8 116:13 216:6 234:11,16 235:6 254:3 296:21</p> <p>typewriting 307:6</p> <p>typical 214:8</p> <p>typically 94:12 227:7</p> <p>Tyvek 256:13,16</p> <hr/> <p style="text-align: center;">U</p> <hr/> <p>U.S 9:7,12 10:1 39:12 43:14 46:6 47:10 69:19 85:8,13 114:2 121:2 154:17,21 218:12 231:2 238:6 240:5 247:3 281:10,19 283:6</p> <p>U.S.'s 294:22</p> <p>UG99 117:12</p> <p>ugh 43:10 123:15</p> <p>uh-huh 150:16</p> <p>ultimately 11:9</p>
--	---	---	--

<p>36:17 78:12 unaffiliated 110:8,9 unanswered 271:2 unanticipated 122:19 unaware 277:20 unchecked 196:12 unclear 101:21 179:3 299:21 undergoing 228:22 undergone 129:12 undergrad 34:7 undermining 35:9 underneath 148:16 underreported 181:7 understand 14:10 15:18 28:14,21 33:20 36:11 40:17 42:6,8 45:19 83:2 84:21 91:4 92:3 97:6 102:22 116:12 126:8,13 129:3 158:17 161:7 163:1 169:3,5 179:8,9,21 205:2 239:2 245:22 246:19 250:16 255:10 258:20 understanding 13:15 15:9 19:22 20:4 27:17 31:18 32:2 33:7 34:5 45:11 47:5 62:11 63:5 71:17 82:17 109:14 212:15 253:20 301:21 understated 211:18 Understood</p>	<p>270:19 undertakes 85:7 unethical 277:14 unexpected 106:15 unfamiliar 153:2 unfortunately 43:22 175:19 unfunded 284:10 UNIDENTIFIED 274:16 uninitiated 103:6 union 198:15 unique 147:7,10 169:16 170:11 171:8 228:1 United 14:19 35:11 114:20 units 114:4 117:18 137:7 universality 215:22 universe 212:13 221:15 272:14 universities 116:2 119:5,10 142:14,15 144:20 151:12 183:5 303:16 university 2:20,22 3:15,17 4:13,18 48:17 52:16 55:6 56:10 57:10 59:20 113:13,22 117:18 118:20 119:21 120:11 123:9 143:19 144:9,11 145:4 147:12 155:16,18,20 156:4 157:1,3 158:8 159:11 163:8 167:12 169:15 170:1,15</p>	<p>171:4 174:3 175:2 183:12 191:10 224:16,19 225:5,7,14 226:4,8,18 232:14 250:2,20 251:14 253:18 283:10 284:6 290:9 296:7 university/counsel 60:20 university-wise 225:15 unless 187:22 194:5 227:10 252:22 272:4 unprepared 285:1 un-thought 285:1 unusual 128:4 update 251:15 305:19 updated 100:8 232:10 303:6 updates 173:22 207:14 updating 85:22 upfront 187:9 upholds 101:6 upon 110:2 111:1 129:22 187:14,15 189:2 201:19 up-to-date 47:3 urge 263:14 USA 148:2 USAM 139:3 USAMRIID 2:17 113:5 139:3 142:15 USDA 155:1 186:10</p>	<p>USDAARS 142:13 useful 69:6 101:18 119:15 145:21 146:17 174:18 263:20 273:17 289:5 user 228:1 USG 1:5 2:5 3:21,22 4:21 197:12,13 214:18 215:13 218:20 222:14 265:18 283:2 297:17 usual 223:6 usually 129:17 160:16 198:9,21 206:7 218:7 223:10 286:12,20 298:8 utility 39:4 utilize 201:20 287:3 utilized 17:3 V vaccinated 100:5 vaccine 18:17 100:4 172:19 vaccines 66:3 225:8 vacuum 25:2 35:1 208:15 vague 128:7 valid 66:17 128:13 valuable 6:22 7:5 217:19 304:20 value 174:16 273:11 values 292:21 variants 178:14 varied 295:22 298:3</p>
---	--	---	--

<p>variety 26:10 29:21 229:4 295:11</p> <p>various 4:21 36:8 173:17 183:22 191:3 210:18 302:15</p> <p>vast 106:3 132:17,21 140:2</p> <p>venue 118:21</p> <p>verification 36:18</p> <p>verifies 100:16</p> <p>verify 100:14</p> <p>version 178:9</p> <p>versus 7:12</p> <p>vertical 264:2,4</p> <p>vesicular 150:13</p> <p>vested 171:1</p> <p>veterinary 2:19 113:12 135:19 159:13 170:10</p> <p>vetted 208:17</p> <p>via 167:3</p> <p>vial 137:14</p> <p>vials 21:13 181:11</p> <p>vicarious 231:10</p> <p>vice 3:14 4:12,16 58:7,16 123:13 143:3 155:15 224:18,22 225:11 235:4</p> <p>video 202:1,4,8,12 203:10</p> <p>video-telecons 219:11</p> <p>view 11:7 141:19 157:3 166:8 174:5 291:12</p> <p>viewers 132:9 260:9</p> <p>views 167:13</p>	<p>291:13</p> <p>Viggianni 105:18 188:21</p> <p>vigilant 138:3</p> <p>vigorous 232:19</p> <p>vigorously 194:18</p> <p>viral 126:12</p> <p>virologist 168:4</p> <p>virology 168:1</p> <p>virulence 21:1 122:20 178:1 276:7</p> <p>virulent 18:19 50:17 51:11 278:3</p> <p>virus 18:15,19 19:1,9,16 21:21 126:3,13 130:9,14,16 131:3,9,16 132:4 150:13 178:9 179:9,19 180:17 240:21 250:3,7</p> <p>viruses 107:13 126:9 141:16 178:16 180:7 189:12</p> <p>visibility 138:1</p> <p>visible 149:22</p> <p>visits 285:15 286:22</p> <p>visually 209:1</p> <p>vital 197:1 208:12</p> <p>voice 36:13</p> <p>volunteer 152:19</p> <p>vote 130:2</p> <p>voting 155:10,11</p> <p>VSV 150:17</p> <p>VSVG 161:15</p> <p>vulnerabilities 19:18 20:1 21:6</p>	<p>282:2</p> <p>vulnerable 26:13 183:17</p> <p style="text-align: center;"><u>W</u></p> <p>wait 83:6 84:2 85:14 87:18 88:6,7,14,16,22 137:19 187:11,22 240:17 261:1 306:7</p> <p>waiting 87:13,16</p> <p>waive 83:4 226:2</p> <p>walk 176:19 305:11</p> <p>walking 12:11 13:8</p> <p>wander 269:22</p> <p>ware 23:22</p> <p>Washington 196:1</p> <p>wasn't 31:19,20,21 80:21 115:22 133:14 200:3 301:7</p> <p>waste 155:3</p> <p>watch 12:2 189:15</p> <p>watchful 189:19</p> <p>watching 6:7,14 30:19 112:15 182:9 196:20 203:1</p> <p>water 84:9 156:7</p> <p>ways 26:11 29:21 36:8 91:20 109:5 193:15 198:3,7 211:5 222:2,4 249:1 285:6,9 300:13</p> <p>wealth 13:10 41:14 119:6</p> <p>weapon 229:22</p>	<p>weapons 295:1</p> <p>wear 239:4</p> <p>web 86:10 132:9 182:8 208:6 210:16 221:7 260:8</p> <p>web-based 184:14</p> <p>webcast 6:8,14 11:21 30:19 43:20 79:20 112:16 137:18 195:20 196:20</p> <p>webcasts 219:10</p> <p>webinar-type 218:2</p> <p>webpage 207:17</p> <p>website 31:11 41:15,17,19 42:14 150:1 203:11,12 205:9,22 207:6 209:3,4 210:1 211:14 220:22 221:6 269:16</p> <p>we'd 81:2 131:20 218:14</p> <p>week 67:12 187:19</p> <p>weeks 187:1,18 228:8 261:10</p> <p>weigh 36:12</p> <p>welcome 2:3 6:3,5,9 9:6,17,18 14:1,21 15:8,21 43:17 44:1 113:1 195:19,21 224:5</p> <p>welfare 222:19</p> <p>we'll 12:22 24:17,18 41:18 42:22 43:3 45:18 79:11 90:7 91:16 112:21 119:17 134:3 143:15,16 146:3 151:7 152:20 153:1</p>
--	--	--	---

<p>163:17 176:2 182:11 195:10 200:12 201:18 203:15,21 223:4,11,14 224:1 236:13 239:10 244:5 246:11 259:19 269:3 274:12 298:1,12</p> <p>well-able 102:6</p> <p>well-contained 247:12</p> <p>well-documented 230:22</p> <p>well-informed 118:11</p> <p>we're 6:11 8:20 10:6,9,12,13,15, 19 13:17 14:16 16:18 18:4 26:18 28:18 29:5 30:8 40:3,11,20 42:6,7 44:17 45:6 47:17,19 49:9 51:5 52:7,9 55:4,16 56:13 57:14 59:13,15 63:2,3,11 64:17 69:19 79:7 82:3 84:9 88:8 89:21,22 90:6 93:11 94:22 95:1 106:6 107:22 111:3,10 120:11 122:8 126:7 130:17 134:1 140:11,17 143:14,15,18 148:15 149:15 151:8 153:12,13 154:10 156:22 158:2 161:18,20 162:1 166:1,14,16 170:11 176:17 178:3 179:4 184:4 188:15,16</p>	<p>189:20 190:17,19 192:18 193:5,10,13 195:9 198:9,11 202:21 204:22 205:1 209:18 211:6 212:22 213:11 220:13 229:8 233:15,21 234:1 235:21 236:7 237:17 239:5 242:3,11,15 244:19 247:15,19 248:6,16 252:8 253:15 254:17,18 256:1 259:14,19 261:5 264:10,12 265:9 267:1 271:4,22 275:14 284:1,12,13,14 296:2 301:18 303:7 304:17 305:15 306:1,5</p> <p>West 23:17,20 114:18 264:12</p> <p>we've 9:21 53:21 54:16 60:16 62:19 64:2 76:4,15,20 77:19 89:17 90:5 98:17 108:13 120:3 121:12 123:11 124:18 125:2 127:17 130:5,21 132:15 133:5,8 136:17 139:13,15 141:11 144:1 157:4 159:1,20 160:14 161:10 163:18 164:16 176:16 183:7 184:12 186:22 189:7 192:5 196:8 197:11</p>	<p>203:15 204:6 205:21 206:11 208:10 209:17,20 210:17 211:1,19 218:1,15 219:8,12 220:1,6,18 226:12 231:15 232:1 233:9 234:2 236:15,17,21 237:3,12,16 242:1,5,13 243:2 244:17 245:12 247:12 248:5,6 250:10 257:15 264:15 265:7 266:20,21 270:7 274:13 284:12,19 285:16 290:14 295:8 299:10,18 300:21 301:21 305:3,9</p> <p>whatever 104:8 205:15 273:8 277:12 285:2,11</p> <p>wheat 117:12</p> <p>whenever 164:6 275:7</p> <p>Whereupon 112:18 153:3 224:2 306:11</p> <p>wherever 141:7 164:7</p> <p>whether 36:22 42:9 59:6 62:17 70:12 71:6,11 72:4,17 75:16 77:19 109:2 120:13 142:1 151:21 166:11 168:12,18 189:1 191:15 197:13 199:8 201:13 212:22 227:2 239:8 242:3</p>	<p>251:22 262:19 272:21 282:20 288:11</p> <p>White 2:8 5:4 10:7 12:10 14:4 41:11 301:16</p> <p>Whoa 240:9</p> <p>whole 7:10 35:16 101:13 123:12 177:10 192:16 217:10 229:4 274:17 279:22</p> <p>whom 236:22 242:13 307:2</p> <p>who's 32:16 37:12 190:20 220:18 272:9 287:8 301:15</p> <p>whose 21:4</p> <p>wide 225:2 295:11</p> <p>wider 192:7</p> <p>wild 18:17,20 23:20 180:4 264:12</p> <p>willful 298:16,20</p> <p>willing 284:8</p> <p>willy-nilly 179:5</p> <p>Wimmer 202:11</p> <p>wind 115:11</p> <p>winds 89:20</p> <p>Wisconsin 3:17 156:4 167:12 168:6 169:15 170:7,9,14,16 171:4 174:3 175:2 183:12 184:10</p> <p>wise 106:16</p> <p>wish 48:13 98:22</p> <p>withhold 145:17,18</p> <p>Wolinetz 2:4 6:2,3 43:7 86:16</p>
--	--	---	--

<p>112:15 210:9 won 153:18 wonder 191:1,15 wonderful 224:13 249:21 wondering 144:11,13,18 276:13 work 13:20 14:5 15:11 33:13 34:14 35:17 37:7,8 39:2 47:13 49:8 52:3 54:20 64:20 67:15,20 81:2 83:21 85:9 90:11 92:21 96:4 97:16 121:16 125:6 141:17 158:5 160:5,8 161:14 163:4,5 165:17 166:9,12,16 171:12 173:13,14 175:17 177:4,13 179:7 181:2 194:21,22 197:22 207:8 208:9,12 209:1 211:3 223:14 229:4 253:19,22 271:5 277:16 278:5 281:20 282:3 294:21 298:12 301:5,17 worked 137:7,12 232:1 264:22 284:12 294:3 workers 38:20 working 13:18,19 14:18 15:18,19 23:11,22 24:10 27:9 28:3 31:22 32:16,19,21 33:16,18 39:5 41:16 50:13,16 56:9,20,22 57:18</p>	<p>63:6 65:2 90:3 92:3 93:12 94:22 95:2 111:20 117:16 120:8,11 122:4 127:5 138:8 150:13 163:11,19 171:12,14 172:20,22 178:8,11 188:6 189:21 190:20 191:20 192:8 200:15 229:20 231:7 242:16 243:8 244:14 245:7 246:8 250:9 251:10,12,17 252:1,4,5 255:8 259:15 260:3 293:21 works 185:20 259:17 262:15 workshop 1:4 6:10 11:14 30:3 210:9 250:11 workshops 219:11 world 105:9 125:21 170:12 242:10 250:9 258:13 262:15 280:15 298:2 worried 95:22 101:12 102:19 131:16 197:3 worry 228:13 worse 141:17 194:6,7 257:14 wrap 111:3 305:5 wrap-up 268:17 wrenching 284:15 Wright 2:11 43:9,11,14 44:11,13 49:13,16,18,21 50:4 51:10,13</p>	<p>52:9 53:11,17,19 54:5,7,10,16 55:2 57:3,13 58:12,18 59:2 60:17 61:3,11,16,20 62:2,16,22 66:1,7,9,15 67:9,14 68:1 70:7,16,18,20 72:12 73:14,21 74:1,9,16 75:4,9,12,15 76:8,20 77:5,11 78:8,11,15,17 79:5,19 80:4,9,20 81:2,10,15,19 82:14,17,21 83:9,14 84:7,13,20 85:4,6,13 86:9 87:14 89:3 90:13,18 91:9,12 93:21 94:2,5,14,18,21 95:12,14,18 96:8,21 97:10 98:6,9 100:7,11,13 101:2 102:17,21 103:16 104:1 105:12 106:19 107:9 108:4 109:13 110:12,17 111:2 writ 11:7 writing 85:19 149:5 160:17 written 53:12 wrong 24:3 233:5 Wyant's 51:16 Wynes 254:22 <hr/> X <hr/> XY 222:18 <hr/> XYZ 177:3</p>	<p>- <u>Y</u> yea 108:8 yellow 122:13 yep 74:19 78:17 256:12,21 Yersinia 232:1 yeses 49:14 51:7 yet 49:13 102:15 143:11 145:22 191:11 192:19 199:21 212:3,4 248:16 259:19 281:16 yield 162:8,14 you'll 30:1 111:4 204:8 207:17 237:2 249:3 297:19 yours 291:9 yourself 10:19 264:5 yourselves 48:9 YouTube 202:17 you've 13:4 27:9 86:3 102:11 120:6 130:12 133:2 179:13 191:2,4 233:4 256:21 258:14 263:10 264:8 269:18 285:22 295:13 <hr/> <u>Z</u> zero 264:6 zeros 264:2 zones 115:4,6</p>
--	--	--	---